

jam
AT

HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE

48th Meeting

Thursday, December 14, 1995

8:30 a.m.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Holiday Inn - Bethesda
Versailles Room
8120 Wisconsin Avenue
Bethesda, Maryland

96 FEB -6 PM 12:49

PARTICIPANTS

Paul A. Bunn, M.D., Chairperson (a.m.)
David R. Parkinson, M.D., Chairperson (p.m.)
Stephen Pollitt, P.A.-C, Executive Secretary

VOTING MEMBERS

Arlene Forastiere, M.D.
Richard Gelber, Ph.D.
James N. Ingle, M.D.
James Krook, M.D.
Judith Ochs, M.D.
Robert Ozols, M.D., Ph.D.
Sandra Swain, M.D.

Patricia Abbitt, M.D.
Michael D. Devous, Sr., Ph.D.
Arnold Malcolm, M.D.
Barry Siegel, M.D.
Marilyn J. Siegel, M.D.

CONSUMER REPRESENTATIVE

E. Carolyn Beaman, M.H.S.

PATIENT REPRESENTATIVE

Barbara Sortino

FDA (a.m.)

Robert Delap, M.D., Ph.D.
Lydia Larson, Pharm.D.
Alison Martin, M.D.
Robert Temple, M.D.

(p.m.)

Paul Botstein, M.D.
Ira Berkower
Patricia Keegan, M.D.
George Mills, M.D.
Jay Siegel, M.D.

C O N T E N T S

Opening Statements	4
Open Public Hearing	
Ms. Peggy McCarthy	8
NDA 20-587 STERILE AEROSOL TALC	
Bryan Presentation: Mr. Frank Abrano	15
Dr. John Beamis	17
FDA Presentation: Lydia Larson, Pharm.D.	46
Committee Deliberation (ODAC Discussants: Paul Bunn, M.D. and James Krook, M.D.)	79
PLA 94-0308, NR-LU-10	
Karl Thomae Presentation: David Brill, Ph.D.	105
Darrell Salk, M.D.	109
Wil B. Nelp, M.D.	115
Darrell Salk, M.D.	125
Andrew Turrisi, M.D.	131
FDA Presentation: Ira Berkower	156
George Mills, M.D.	160
Committee Deliberation (ODAC Discussants: Robert Ozols, M.D. and Barry Siegel, M.D.)	203
Adjournment	220

P R O C E E D I N G S

OPENING STATEMENTS

MR. POLLITT: My name is Steve Pollitt. I am the Executive Secretary of this Committee and I would like to welcome you to the 48th Meeting of the Oncologic Drugs Advisory Committee Meeting. At this time, I would like to introduce Dr. Paul Bunn who is the Chairman of the Committee.

CHAIRMAN BUNN: Thank you very much. I think we will have a few introductions and then we will actually go around the table and introduce ourselves. As hopefully everyone knows, the ODAC has a patient representative at every meeting. This morning, I would like to welcome Barbara Sortino who is here as the Patient Representative serving on the Committee for this session.

There is a new ODAC member who is a voting Consumer Representative, Carolyn Beaman. Carolyn Beaman is a permanent member of the Committee and we welcome you, also. Perhaps, we will just go around quickly and introduce ourselves. Even though I have introduced you, why don't you just say your name again and we will go this way.

MS. SORTINO: My name is Barbara Sortino. I am a lung-cancer survivor. I am here representing patients.

MS. BEAMAN: I am Carolyn Beaman. I am the Consumer Representative. I am a five-year breast-cancer

1 survivor.

2 DR. KROOK: I am Jim Krook from Duluth, Minnesota,
3 medical oncologist.

4 DR. OZOLS: Bob Ozols from the Fox Chase Cancer
5 Center in Philadelphia, medical oncologist.

6 DR. SWAIN: Sandra Swain, medical oncologist from
7 Bethesda, Maryland.

8 DR. BUNN: I am Paul Bunn. I am a medical
9 oncologist from the University of Colorado.

10 MR. POLLITT: Steve Pollitt. I am the Executive
11 Secretary for the Committee.

12 DR. OCHS: Judy Ochs, now pediatrics and medical
13 oncology, Little Rock, Arkansas.

14 DR. FORASTIERE: Arlene Forastiere, medical
15 oncologist at Johns Hopkins, Baltimore.

16 DR. GELBER: Rich Gelber, biostatistician from
17 Boston.

18 DR. DELAP: Bob Delap, Oncology Division, FDA.

19 DR. TEMPLE: Bob Temple. I am Associate Center
20 Director for Medical Policy.

21 DR. JUSTICE: Robert Justice, Division of
22 Oncology, FDA.

23 DR. LARSON: Lydia Larson, Division of Oncology,
24 FDA.

25 DR. MARTIN: Alison Martin, medical oncologist,

1 FDA.

2 MR. POLLITT: I will now read the conflict-of-
3 interest statement for this meeting.

4 The following announcement addresses conflict-of-
5 interest issues associated with this meeting and is made a
6 part of the record to preclude even the appearance of a
7 conflict.

8 Based on the submitted agenda and information
9 provided by the participants, the Agency has determined that
10 all reported interests and firms regulated by the Center for
11 Drug Evaluation and Research present no potential for a
12 conflict of interest at this meeting with the following
13 exceptions.

14 In accordance with 18 USC 208(b)3, full waivers
15 have been granted to Dr. Robert Ozols, Dr. Marilyn Siegel,
16 and Dr. Barry Siegel. The waivers permit them to
17 participate fully in the discussions and voting concerning
18 NR-LU-10 Fab. A copy of those waiver statements may be
19 obtained by submitting a written request to FDA's Freedom of
20 Information, Room 12A-30, of the Parklawn Building.

21 In addition, we would like to note that Dr. Ozols
22 and his employer, the Fox Chase Cancer Center, have
23 interests in the manufacture of a competing product, a
24 sterile aerosol talc, which are unrelated to the firm's
25 competing product. Although these interests do not

1 constitute a financial interest in the particular matter or
2 in the competing product within the meaning of 18 USC
3 Section 208, they could create the appearance of a conflict.
4 However, it has been determined, notwithstanding those
5 interests, that it is in the Agency's best interest to have
6 Dr. Ozols participate in the Committee's discussions
7 concerning sterile aerosol talc. He will, however, be
8 excluded from any vote related to this product.

9 Further, during the discussions of NR-LU-10, Dr.
10 Paul Bunn will step down as chairman. He will participate
11 in the discussions concerning NR-LU-Fab, however, he will be
12 excluded from any vote related to the product. In the event
13 that the discussions involve any other products or firms not
14 already on the agenda for which an FDA participant has a
15 financial interest, the participants are aware of the need
16 to exclude themselves from such involvement, and their
17 exclusion will be noted for the record.

18 With respect to all other participants, we ask in
19 the interests of fairness that they address any current or
20 previous financial involvement with any firm whose product
21 they may wish to comment upon. I would also ask that anyone
22 who does ask questions or come up to speak, please speak
23 into a microphone, state your name first and who you
24 represent, and if you have any financial interests in the
25 product being discussed before the Committee.

1 Thank you.

2 Dr. Bunn.

3 **OPEN PUBLIC HEARING**

4 CHAIRMAN BUNN: Thank you very much.

5 We now turn to the open public hearing, and we
6 have had one request, from Peggy McCarthy, to speak before
7 us all.

8 Peggy, if you want to use this microphone, that
9 will be great.

10 **MS. PEGGY MCCARTHY**

11 MS. MCCARTHY: I am Peggy McCarthy. I am Acting
12 Executive Director of the Alliance for Lung Cancer Advocacy,
13 Support and Education.

14 This group, ALCASE, is the only organization that
15 supports, advocates, and educates people with lung cancer.
16 It was founded in 1979 by a lung cancer survivor, Mort
17 Liebling, who started a group called Spirit and Breath,
18 after he had received his diagnosis of lung cancer and was
19 very discouraged when his physician told him, after Mort
20 complained of not feeling very well after his surgery and
21 his therapy, his physician said, well, Mort, you are either
22 going to die or you will eventually feel better, and Mort
23 went out and found ways to make himself feel better,
24 primarily through the use of exercise. He helped to learn
25 to re-breathe after losing a lot of his lung tissue, and he

1 started an organization that he shared with other people.

2 We found out about it a number of years ago and
3 got to be friends with Mort, and prior to his passing away
4 two years ago, he asked my business if we would take over
5 the organization, make sure it continued.

6 We changed the name to ALCASE, as I mentioned, and
7 I am very pleased to be here representing the lung cancer
8 survivors who work with us.

9 During the first half of the century, lung cancer
10 was a very rare disease. According to epidemiologist, E.L.
11 Wynder, a patient who presented with lung cancer in a clinic
12 or a hospital became a teaching case for practicing
13 physicians and physicians in training. Today, however, lung
14 cancer kills more people than any other type of cancer. In
15 fact, each year, it kills more women than does breast
16 cancer.

17 Tobacco was identified as a major carcinogen in
18 the first Surgeon General's report published January 11,
19 1964, which linked smoking and lung cancer. Epidemiological
20 research over the past 40 to 50 years has revealed that
21 tobacco smoke is associated with 80 to 90 percent of all
22 lung cancers. Of course, other complicating risk factors
23 can be having a genetic predisposition for the disease and
24 exposure to other carcinogens, such as asbestos, radon, and
25 arsenic, to name a few.

1 The increase in incidence is attributed to the
2 increase in smoking that occurred during World War I and
3 World War II when cigarettes were distributed to soldiers.
4 The subsequent and ever-increasing advertising campaigns by
5 cigarette manufacturers also contributes to this increase.

6 Today, the incidence of lung cancer is decreasing
7 in men but increasing in women. Deaths from lung cancer in
8 women have increased more than 400 percent in the last 30
9 years. Although overall, smoking has decreased among women
10 in the last decade, teenage smoking rates have not declined
11 appreciably since 1984, and, in fact, the incidence of
12 smoking in young adult women and teenagers is increasing.

13 A 1994 report by Dr. Joycelyn Elders, the Surgeon
14 General at that time, published in the American Journal of
15 Public Health stated that, among daily smokers, 71 percent
16 started smoking by the age of 18. We believe that the
17 increase in lung cancer incidence in women can be attributed
18 to cigarette advertising which exploits the adolescent and
19 woman's concerns about body image, popularity, success, and
20 fashion.

21 Despite the increasing prevalence of lung cancer,
22 early diagnosis is difficult. Many people are asymptomatic
23 until the disease has progressed significantly. The
24 symptoms may be vague or can be attributed to other physical
25 problems.

1 By the time the disease has been diagnosed, it is
2 usually too advanced to be cured. There are many stories of
3 people whose lung cancers are misdiagnosed thereby delaying
4 treatment for months and even years. I would like to tell
5 you a few of these stories. These are case histories based
6 on people who have called in to ALCASE.

7 For example, there was a CPA from New York. She
8 was in her early forties. She called us back in 1994. She
9 had been treated for a year for back pain with analgesics
10 and heat by her family practice physician. She after a year
11 decided to consult a chiropractor because her pain wasn't
12 getting any better. The chiropractor did an x-ray and found
13 lung cancer. She was diagnosed with Stage 3 non-small cell
14 lung cancer and died this past year.

15 Another woman, 39 years of age, called us
16 recently. She had been suffering from bronchitis and a
17 sinus infection all last winter, and was diagnosed with
18 many, many different diagnostic steps including an MRI of
19 her head to look at the sinuses.

20 She improved, was treated with antibiotics,
21 improved somewhat, and decided to take a road trip. During
22 her trip, she tripped and fell, and went to a hospital
23 emergency room where they x-rayed her for possible broken
24 ribs. She was diagnosed with Stage 3 non-small cell lung
25 cancer.

1 A third woman, age 58, and her husband, age 68,
2 had scheduled physical exams by the same physician. The
3 doctor prescribed a chest x-ray for the man, and not for the
4 woman. Later she was given a colonoscopy and was diagnosed
5 via that with metastatic lung cancer.

6 These are many stories like these. Because lung
7 cancer has historically been a man's disease, physicians
8 forget to include it in the differential diagnosis for their
9 female patients.

10 While lung cancer is no longer an old man's
11 disease, neither is it an old woman's disease. More and
12 more people in their 30's, 40's, and 50's are being
13 diagnosed with lung cancer every year. It is imperative
14 that health care professionals be educated about the changes
15 in lung cancer's epidemiological profile.

16 Improving diagnostic procedures can become a part
17 of that education. The May 1994 edition of a patient and
18 family guide published by the American Lung Association
19 stated that, "There is no benefit from yearly chest x-rays
20 with sputum cytology ... and that screening has not shown to
21 decrease the lung cancer death rate. In cases where lung
22 cancer was detected in its earlier stages, the data have not
23 shown any decrease in mortality."

24 This bleak outlook can become part of the
25 physician's beliefs about the efficacy of early detection

1 and aggressive proactive treatments. Yet, it cannot be
2 denied that a cure is possible when tumors localized in a
3 resectable site are surgically removed before they have
4 metastasized.

5 The American Cancer Society's Facts and Figures
6 for 1995 states, however, that although the five-year
7 survival rate for lung cancer is only 13 percent, it rises
8 to 47 percent for cases detected when the disease is still
9 localized. However, only 15 percent of lung cancers are
10 diagnosed in Stage 1 and 2.

11 In this light, we believe that the issue here is
12 not only treatment efficacy based on the current average
13 stage of tumor at diagnosis, the issue is promoting and
14 providing earlier diagnosis and improved diagnostic
15 techniques.

16 While it is true that the chest x-ray can miss a
17 tumor in its earliest stages and sputum cytology may yield
18 individual cells in numbers that are too small to be seen on
19 an x-ray, they are useful diagnostics and it is important to
20 make these and other tools available to all persons with
21 lung cancer risk factors.

22 We must educate the health care community to
23 reclaim the scientific heritage of their craft by
24 approaching patients with an open and investigative mind.
25 Then, we must provide the tools to make that investigative

1 process fruitful and rewarding.

2 Finally, we must launch a whole-hearted and
3 vigorous campaign to combat the effect of cigarette
4 advertising, especially on our young women and men. And we
5 must not be afraid to tackle the difficult task of
6 eliminating the use of cigarettes in our culture.

7 I and the members of the Alliance for Lung Cancer
8 Support, Education, and Advocacy, also known as ALCASE,
9 thank you for this opportunity to speak. I am very glad to
10 be here.

11 CHAIRMAN BUNN: Thank you very much.

12 Are there any questions or comments from Committee
13 members?

14 [No response.]

15 CHAIRMAN BUNN: Since I am interested in this
16 topic, I will give my points of view.

17 From the Surgeon General's report in 1964 until
18 1991, cigarette consumption as measured by the percentage of
19 adults who smoke went down, and over the last three years,
20 the data are that cigarette consumption is no longer
21 declining in the United States and more than 25 percent of
22 the adult population continues to smoke.

23 It is probably no accident that in the last 10
24 years, cigarette advertising expenditures have gone from \$2
25 billion a year to \$7 billion a year. Not only are they the

1 largest advertisers in the world, but they probably equal
2 everything else advertised in the world.

3 A couple of points of good news. Even though the
4 cure rate is only 13 percent in the United States, shortly
5 after the Surgeon General's report came out, it was 4 or 5
6 percent, and it is now 13 percent as you mentioned. In
7 Europe, the cure rate for lung cancer is still only 4 or 5
8 percent. So we seem to be doing something which may be
9 somewhat hard to identify.

10 We appreciate hearing from you. There has been
11 some controversy over whether the Committee is interested in
12 hearing from consumers, and I can assure you that we are.
13 We are also, as has been mentioned many times before,
14 interested in approving safe and efficacious drugs which
15 will improve the situation of cancer patients in our
16 country.

17 **NDA 20-587 STERILE AEROSOL TALC**

18 **CHAIRMAN BUNN:** So without further comment, then,
19 we will turn to NDA 20-587 sterile aerosol talc, and the
20 presentation will be given by Bryan Corporation.

21 **MR. FRANK ABRANO**

22 **MR. ABRANO:** My name is Frank Abrano and I hold
23 the position of Chief Executive Officer of Bryan
24 Corporation, which is a small, privately-owned medical
25 device company located outside of Boston. I am honored

1 today to have the opportunity to present Sclerosol, a
2 sterile aerosol talc for use in malignant pleural effusion.

3 I will begin by providing some background
4 information on Bryan Corporation. Bryan Corporation was
5 established in 1985, develops and promotes technology in the
6 field of diagnostic and therapeutic endoscopy.

7 This company began with the introduction of the
8 modified Dumon-Harrell Yag Laser Rigid Bronchoscope which
9 remains the number one bronchoscope in the world today.

10 Following this product, Bryan Corporation
11 introduced the first Baggish Continuous Flow Hysteroscope in
12 the United States in 1988. This system was marketed by Weck
13 Surgical under a license agreement with Bryan Corporation.

14 After working with Dr. Dumon and his colleagues in
15 Marseille, France, for a number of years, we realized that
16 the development of an aerosol talc addressed significant
17 clinical needs in the management of malignant pleural
18 effusion.

19 This is Bryan Corporation's first New Drug
20 Application. This is an unusual submission since it is
21 based on a literature search.

22 It gives me great pleasure to introduce to you
23 today Dr. John Beamis, the head of the Section of Pulmonary
24 and Critical Care Medicine at Lahey-Hitchcock Clinic in
25 Burlington, Massachusetts. Dr. Beamis was one of the first

1 individuals to assist Bryan Corporation in pioneering the
2 Dumon tracheobronchial stents. Today, Dr. Beamis will
3 present the clinical overview to Sclerosol sterile talc.

4 Thank you.

5 DR. JOHN BEAMIS

6 DR. BEAMIS: Thank you, Frank.

7 [Slide.]

8 Malignant pleural effusion is a condition that
9 occurs in many cancer patients. One estimate is that there
10 are 200,000 cases per year in the United States. This I
11 think is just an estimate from Dr. Light's book on pleural
12 disease. It is the second most common cause of pleural
13 effusion next to congestive heart failure, and it is the
14 most common cause of exudate of pleural effusions.

15 [Slide.]

16 Lung cancer is the most common cause of malignant
17 pleural effusion followed by breast cancer, lymphomas, and a
18 number of other malignancies that can metastasize to the
19 lung or to the pleural space, producing a malignant pleural
20 effusion.

21 [Slide.]

22 Most patients with malignant pleural effusions are
23 symptomatic, and although these patients are usually
24 considered incurable because of the fact that they have
25 studding of their pleural cavity or certainly unresectable

1 disease, these patients do need palliation for the effusions
2 because they do produce symptoms primarily of dyspnea, some
3 chest pain, and usually, because of the dyspnea and the
4 extent of their cancers, they have significant systemic
5 symptoms.

6 [Slide.]

7 There are a number of treatment options for
8 malignant pleural effusions. Some small effusions can
9 simply be observed, at least for a number of weeks,
10 sometimes a number of months, although usually they
11 eventually become symptomatic.

12 Simple thoracentesis and removing the fluid
13 occasionally suffices for therapy. Systemic therapy would
14 certainly be ideal. It certainly is helpful in many
15 patients with breast cancer who receive hormonal therapy or
16 chemotherapy, but in most patients with lung cancer,
17 systemic therapy does not control their effusion.

18 The last therapy that talc has been used for, for
19 a number of years, is pleurodesis or pleural sclerosis.

20 [Slide.]

21 The indications for pleurodesis for malignant
22 pleural effusions are symptomatic patients. Usually, one
23 should document that the patient's symptoms are improved by
24 removing the fluid. So every patient should have a standard
25 thoracentesis, removal of the fluid, and then a follow-up to

1 assure that there has been relief of symptoms.

2 One has to rule out trapped lung or bronchial
3 obstruction. It is most important that the lung re-expands
4 after the fluid is removed, so that pleural synthesis can be
5 achieved. Some authors feel that if a pH is very low, lower
6 than 7.2, that the chance of success of pleural sclerosis is
7 quite low. That hasn't been our experience, but that is
8 mentioned in the literature.

9 [Slide.]

10 The options for pleurodesis over the years have
11 included agents to produce inflammation and fibrosis of the
12 pleural surfaces. Tetracycline for many years, from
13 probably the mid-seventies until several years ago, was by
14 far the most commonly used agent, but intravenous
15 tetracycline, which is used through chest tubes, is no
16 longer available, so that other treatments have been used.

17 Doxycycline and minocycline have been used by a
18 number of institutions since then. They probably work as
19 well as tetracycline did, but there have not been good long-
20 term studies on these.

21 Bleomycin, a chemotherapeutic agent, has been used
22 for pleurodesis for a number of years, probably producing
23 similar results to tetracycline. One concern from many
24 clinicians has been the expense of this medication.

25 Most studies in the literature show that these

1 agents work approximately 70 to 75, 80 percent of the time,
2 but rarely approach success rates over 80 percent.

3 Talc has been available since the 1930's for
4 various indications to produce pleural synthesis. It has
5 been used either as a slurry, where it is suspended in
6 saline, or by insufflation.

7 In the literature, there are a number of series of
8 the use of talc for pleural sclerosis. Many of these have
9 been reported in the briefing documents that you have been
10 given. Many of these studies do show that the success rate
11 of talc for pleurodesis is over 90 percent, and in some
12 studies, approaches 100 percent.

13 [Slide.]

14 The advantages of using thoracoscopy for
15 pleurodesis is that one can achieve a rapid total drainage
16 of the pleural effusion, and this is most important to
17 achieve full lung expansion and adherence of the visceral
18 and parietal pleura.

19 One can also inspect the pleural space, do any
20 biopsies that might be indicated. Visual placement of the
21 chest tube I think is very important. In the thoracoscopy
22 cases that we have done and placed the chest tube visually,
23 you can assure that it is in the proper position going up in
24 the posterior gutter. Extra holes can be put in the chest
25 tube, again to make sure that the pleural cavity is drained

1 after suction is applied.

2 One can then confirm talc distribution if talc is
3 aerosolized or placed inside the cavity.

4 [Slide.]

5 For years, USP talc has been used in an atomizer,
6 which I will show in a minute, to insufflate talc into the
7 pleural cavity. It is very inexpensive. There are multiple
8 suppliers around the country evidently. It is supplied non-
9 sterily to hospitals, so that one must sterilize this and
10 package this locally.

11 That has been a problem in a number of
12 institutions in that there is no good standard on how to
13 sterilize this bulk talc, and one requires an insufflator to
14 do this. So the pharmacies must sterilize it, they must
15 prepare it and package it, and then store it in their
16 institution.

17 [Slide.]

18 This is the type of insufflator that has been used
19 through a thoracoscope to blow in insufflated talc. The
20 talc is usually placed in this either glass or plastic
21 container, and then there is an air bulb syringe that would
22 blow the talc through a thoracoscope.

23 [Slide.]

24 There are several methods to sterilize talc, dry
25 heat, ethylene oxide, and gamma irradiation, and most

1 hospitals have these two available to them, and there is
2 some debate about the exact temperature that should be used.
3 One author recommended this 130 degrees centigrade for six
4 hours. Some people recommend 12 hours. So the problem has
5 been the standardization of this.

6 Ethylene oxide also was used for some time. I
7 think the last I read it was going out of vogue. Gamma
8 irradiation may not be available for most hospitals.

9 [Slide.]

10 The Sclerosol agent sterilized talc that we are
11 discussing today is a can of 4 grams of Luzenac talc. This
12 is from France. It is a very fine asbestos-free talc powder
13 of very fine particle size. It is sterilized by gamma
14 irradiation, and it is in an aerosol canister that has a
15 propellant.

16 The talc is delivered through a catheter that
17 comes out of the container at a fairly fast rate.

18 [Slide.]

19 This just shows the container that we have used, a
20 sterile aerosolized talc, the plastic catheter that comes
21 off here, and the talc can then be delivered through a
22 thoracoscope.

23 [Slide.]

24 The technique that we have been using for
25 approximately a year now involves thoracoscopy performed by

1 a pulmonologist in a procedure room. This is not done in
2 the operating room. It is done under local anesthesia with
3 intravenous sedation.

4 We usually have the patient come in, in the
5 morning. Every patient has already had an established
6 diagnosis and has agreed to this procedure. We do a
7 thoracentesis of 3- to 500 cc's, and then just the opposite
8 of what we have been taught for many years, we actually try
9 to induce a pneumothorax.

10 We have the patient take about 10 deep breaths,
11 and they will suck air into the pleural cavity. We then :
12 send the patient to Radiology where we confirm that they
13 have a pneumothorax and that they have a large air space, so
14 that we can safely put in the thoracoscope.

15 Then, in the afternoons we have been doing the
16 thoracoscopy in the bronchoscopy room, I.V. sedation,
17 topical lidocaine, pretty much similar to the type of
18 anesthesia you would be using to put in a chest tube, being
19 at the bedside, possibly a little bit more of this.

20 We drain the remainder of the fluid. If we need
21 to, we obtain a biopsy. We then place the chest tube under
22 direct vision, so we are sure that it is not in a fissure,
23 that it is not overlying the diaphragm, and we have been
24 using two cans of the Sclerosol, which is a total of 8
25 grams.

1 Essentially, every patient has been discharged
2 after two to three days of drainage with this.

3 [Slide.]

4 This just shows the pneumothorax that has been
5 induced in the outpatient clinic in a patient that we did.
6 You can maybe see a little pleural thickening where there
7 was metastatic disease to the pleural surface.

8 This is a very safe procedure, putting a
9 thoracoscope in this type of a cavity, much different from
10 the video-assisted thoracic surgery that is done in the
11 operating room.

12 [Slide.]

13 This just shows the talc that is going through the
14 thoracoscope. Here is what is bringing this in.

15 [Slide.]

16 This may not show up too well, but this is a
17 patient who proved to have a mesothelioma, but this is an
18 adhesion to the chest wall. The lung is adhesed up here,
19 but certainly it is a large cavity that we could spray talc
20 out into. We usually don't try to cut these adhesions at
21 this point.

22 [Slide.]

23 This shows the talc after it has been sprayed in.
24 You can see it has coated the area quite well. Some of this
25 powder is so fine that you really can't see it too well on

1 the surface. It does concentrate in the areas probably
2 where that plastic catheter was aimed at.

3 [Slide.]

4 This just shows again the fine coating of the
5 pleural cavity by the talc.

6 [Slide.]

7 There aren't papers or a series on Sclerosol. It
8 is mentioned in one paper by Colt and Dumon, who had an
9 article in Chest in 1994, describing the development of this
10 spray canister. In their article, they mention that they
11 did treat 12 patients with malignant pleural effusions, and
12 11 out of 12 were successful. No specific follow-up is
13 given. There were no complications although 30 percent of
14 their patients did have a low grade fever.

15 We have recently submitted an abstract for the
16 American Thoracic Society annual meeting in May on the first
17 11 patients that we did. Ten of the 11 were successful.
18 The one patient required -- I think it probably would have
19 been successful. We became impatient after five days of
20 drainage and put some extra doxycycline in, and then his
21 drainage stopped and he has done fine since then.

22 We had no complications of the procedure. Again,
23 we noted transient fever in some of the patients in follow-
24 up.

25 [Slide.]

1 I haven't reviewed all the papers and the
2 literature that was submitted with this application. All of
3 them concern the use of the standard USP talc, which has
4 been available for years.

5 I think some of these questions probably have been
6 answered, talc versus other pleural sclerosants. In
7 general, in the papers and the literature, the success of
8 talc is 90 percent or more, approaches, in some series it
9 has been 100 percent successful.

10 Most of the other sclerosants in the series give
11 you success rates anywhere from 70 to 80 percent, sometimes
12 even lower than that. So I think most clinicians and the
13 literature supports the fact that talc is probably the best
14 agent for pleural sclerosis.

15 The problem has been getting talc into the pleural
16 cavity. It does require a procedure. Thoracoscopy, I think
17 is an ideal way to apply this to the pleural cavity, but it
18 is a procedure that may not be available to a number of
19 institutions.

20 There is still some question of whether the talc
21 can be put in as a slurry or whether it has to be sprayed in
22 as a scope versus tube. There is, I think, an ongoing
23 study, a multicenter study, looking at this. I have
24 certainly not seen any data one way or the other.

25 Again, with talc, there is always the question how

1 much to use, and I think that has not been settled. People
2 say anywhere from 2 to 8 grams of talc. I don't think there
3 is a correct amount at this point in time.

4 We tried to visually coat the pleural cavity as
5 best we can. In our experience, it has taken two cans of
6 the Sclerosol.

7 Whether this should be used in benign disease is a
8 question. Certainly, classically, USP talc has been used
9 for many years to produce pleural synthesis in patients with
10 recurrent pneumothorax. We are not talking about that
11 today, but it certainly has been used for a long time for
12 that indication.

13 Are there any long-term effects of this? This has
14 been studied primarily in patients who were treated for
15 benign disease with pneumothorax. There are papers in the
16 literature with 30-year follow-ups showing that talc
17 pleurodesis does not cause significant restrictive lung
18 disease, and now that we can be sure that there is no
19 asbestos fibers in the talc, I think the concern about
20 inducing mesothelioma, other malignancies, is not there
21 also.

22 [Photograph.]

23 I don't know if you can see this. I didn't have a
24 slide, but this is the snowstorm effect that takes place
25 when you put this talc in the cavity. When you blow the

1 talc into the pleural cavity, it produces almost like a
2 snowstorm effect and that the talc distributes very finely
3 throughout the entire pleural cavity and deposits there.

4 I will be glad to take any questions.

5 CHAIRMAN BUNN: I think we will hold questions
6 until you are finished.

7 Do you have other speakers?

8 DR. BEAMIS: No.

9 CHAIRMAN BUNN: So you are not going to review any
10 of the literature studies? We will have questions then.

11 DR. BEAMIS: I will be glad to go over some of the
12 articles that are in the briefing documents.

13 CHAIRMAN BUNN: Dr. Krook?

14 DR. KROOK: Being an internist at heart and
15 working with chest physicians who don't necessarily enter
16 into the OR, have you put in the aerosol talc on -- I guess
17 I will use the word oncology units -- we presently use the
18 slurry, but have you put the aerosol talc through just the
19 chest tube, or has it all been under a thoracoscope?

20 DR. BEAMIS: We haven't put it through a chest
21 tube, no. Our colleagues in France have said that you can
22 do this, but I think if the chest tube has a significant
23 amount of humidity in it or fluid, I am afraid that the talc
24 would congeal inside the chest tube.

25 It possibly could be done, but we haven't studied

1 that.

2 DR. KROOK: I would anticipate the response rate
3 or the decrease of fluid would be less, but on the other
4 side of the coin, there is a cost issue, and it is less
5 costly to do that. It will be interesting what happens
6 here.

7 DR. BEAMIS: We have done it in the procedure
8 room, not in the operating room.

9 CHAIRMAN BUNN: You mentioned that the goal of
10 this is to relieve patients' symptoms, and when you say that
11 the success rate is 90 percent, and in your own series, 10
12 out of 11, is the success rate the absence of pleural
13 effusion or is it relief of symptoms? What evidence do you
14 have that the patients feel symptomatically better after
15 this treatment?

16 DR. BEAMIS: Well, we have done a thoracentesis
17 before the treatment to know that removing the fluid has
18 improved their symptoms of dyspnea, so that we wouldn't do
19 this on someone who isn't improved with thoracentesis.

20 Sometimes patients have lymphangiectic disease or
21 the lung involvement, so that even if you take the fluid
22 out, you don't palliate their dyspnea.

23 The follow-up that we have done has been both
24 symptomatic and radiographic. We have had patients come
25 back at monthly intervals. Certainly, if you can keep the

1 fluid out, the symptoms are relieved. The main symptom is
2 dyspnea, and I don't think there is any question if you can
3 keep their fluid controlled, the dyspnea is relieved.

4 CHAIRMAN BUNN: Let me be more succinct. When you
5 say 10 out of 11 cases, does that mean the patient has not
6 had a recurrence?

7 DR. BEAMIS: I would say both. That is
8 symptomatic and radiographic improvement, radiographic
9 control of the fluid and symptomatic improvement in dyspnea.

10 CHAIRMAN BUNN: When you were talking to this
11 company about developing something new, you mentioned that
12 tetracycline was pretty cheap and was removed from the
13 market. Why doesn't the company make tetracycline, would
14 that be cheaper than talc?

15 DR. BEAMIS: I have never quite figured out why
16 tetracycline was removed from the market. I think it has to
17 do with the availability of products to make the
18 tetracycline. It was made by one of the large
19 pharmaceutical companies worldwide, and they just stopped
20 making it.

21 CHAIRMAN BUNN: When you were talking about costs
22 there, as Dr. Krook just mentioned, doing these procedures,
23 whether it is VATS or thoracoscopy, putting the person in
24 the hospital for three or four days is pretty expensive.

25 Do you have any comparators of both costs and

1 efficacy compared to, for example, draining some effusion in
2 outpatient, putting something in as an outpatient compared
3 to, you know, your procedure that you presented here?

4 DR. BEAMIS: I don't have that. I think the
5 standard in my career has been to bring patients into the
6 hospital, put a chest tube in, drain them for several days
7 or more until the drainage decreases to the classic number
8 has been 150 cc's per day, and then a pleural sclerosant is
9 inserted into the chest tube, and then you drain them again
10 for another X number of days until you get to that magic 150
11 cc's.

12 That, in my experience, usually is anywhere from a
13 seven- to a ten-day hospitalization. Using this technique,
14 there is no up-front hospitalization. The patients come in
15 the same day, and they are in the hospital two to three
16 days.

17 So I think compared to the standard
18 tetracycline/chest tube approach, this is a much short
19 hospitalization. I know now there is interest in especially
20 the interventional radiology field to put a tube in a
21 patient, send them home with a drainage until the drainage
22 decreases, and then have them come in, and quote, "put
23 something in."

24 I have heard anecdotal reports of that. I know it
25 is done in some institutions. I am not aware of the results

1 of that or the costs.

2 DR. KROOK: There are two interesting comments.
3 Since I live in the managed care world in Minnesota, we now
4 are an intelligent people putting chest tubes in and sending
5 them home the same day, and letting them manage at home. It
6 is happening.

7 DR. BEAMIS: It is happening with pneumothorax.

8 DR. KROOK: It is happening in malignant pleural
9 effusions also, and that is why I brought up the delivery
10 system. So there is other things that come into play at
11 least in the --

12 DR. BEAMIS: I guess I am not sure I would want to
13 be sent home draining 5- or 600 cc's of fluid.

14 DR. KROOK: I hear you, but it's happening.

15 DR. BEAMIS: I know, but that doesn't mean it is
16 the right way to do it.

17 DR. KROOK: Right. The second issue is I think
18 there is two things here that are of interest. One is the
19 substance which you used talc. Paul already brought up you
20 could have probably blown bleomycin in there, you probably
21 could have put mustard in the situation, and you chose talc
22 probably for reasons you can say.

23 But the other is, as I said, the delivery system.
24 It's different than what has been used before, so there is
25 really two things that are together here, and it fits.

1 DR. BEAMIS: It is still an old technique. I mean
2 in recent years, video thoracoscopy has become more
3 popularized, but it is still a very old technique.

4 CHAIRMAN BUNN: Dr. Forastiere?

5 DR. FORASTIERE: I was wondering if you could say
6 how many of those 10 success patients did not have
7 reaccumulation of the fluid, say, within a three-month
8 period.

9 DR. BEAMIS: None of them had fluid at the end of
10 a month, all the ones that did well. By the end of three
11 months, some of them we haven't had follow-up on. We
12 haven't had to admit anyone for more fluid management. They
13 have died of other aspects of their disease or have moved to
14 other areas.

15 So we don't have at this point complete three- and
16 six-month follow-up, but I know that at one month, none of
17 the patients had reaccumulation of fluid, and we haven't had
18 to re-treat patients for fluid management.

19 DR. FORASTIERE: So the symptomatic improvement --

20 DR. BEAMIS: That's right, but these patients were
21 at the late stages of their cancer, so they may be dyspneic
22 for other reasons three months after we do this procedure.

23 DR. FORASTIERE: One of the concerns or the
24 problems with the chest tube aspect of it, from my
25 understanding, is the distribution of the tetracycline or

1 whatever the slurry is, whatever you put in there, but this,
2 it would seem, then, it would have this advantage from
3 looking at the pictures of broader and perhaps even
4 distribution on the surfaces.

5 DR. BEAMIS: I think so. There has always been
6 that concern about moving the patient, especially, if there
7 are adhesions, if you put something into the chest tube, is
8 it well distributed, is the slurry -- I have seen CT scans
9 of patients who have had talc slurry, and it all seems to be
10 congealed at the base of the lung rather than distributed.

11 DR. FORASTIERE: So you think that is what you
12 would attribute the higher success rate that you have?

13 DR. BEAMIS: I think so. Talc is an excellent
14 sclerosant, and it seems that you can distribute it very
15 well with this technique.

16 DR. FORASTIERE: Thank you.

17 CHAIRMAN BUNN: Dr. Gelber.

18 DR. GELBER: We are going to be asked to take
19 information from the literature to judge the safety and
20 effectiveness of this agent.

21 DR. BEAMIS: I didn't hear the first part.

22 DR. GELBER: We are being asked on the Committee
23 to take information from the literature --

24 DR. BEAMIS: Yes.

25 DR. GELBER: -- to judge the safety and

1 effectiveness of this agent, and you have told us about your
2 series of 11 patients and describing 10 as being successful.

3 It is still not exactly clear to me how you have
4 defined success, but I wonder if you can help us to
5 understand, in the papers that you have reviewed, whether
6 consistent definitions of success have been applied, and
7 whether the definitions for success rate through the
8 literature might match yours, and if you can give us some
9 insight into what success might mean.

10 DR. BEAMIS: I think most of the papers in the
11 literature that I have seen, success has been evaluated at
12 30 and 90 days, primarily based on the chest film, the lack
13 of reaccumulation of fluid.

14 Some of the studies also talk about control of
15 dyspnea, but most of the studies have been based on the fact
16 that the fluid doesn't come back radiographically.

17 DR. GELBER: Is there, in general, a time frame
18 within which the fluid does not come back or it does come
19 back?

20 DR. BEAMIS: I think if it hasn't come back by 90
21 days, it is unlikely that it is going to come back in that
22 space. If you just did a thoracentesis on a patient without
23 a pleural sclerosant, it invariably comes back within 30
24 days.

25 So I think if you can go 30 and 60, 90 days

1 without reaccumulation of fluid, I think you can attribute
2 that very realistically to your therapy.

3 DR. GELBER: Is there any limited follow-up that
4 you need for the patients?

5 DR. BEAMIS: In the literature, a number of the
6 patients have died within the first few weeks, usually of
7 their primary disease. So I don't think you could say that
8 those patients were necessarily a success of the therapy. I
9 think you would have to follow a patient for 30 days.

10 DR. GELBER: Just a few more?

11 CHAIRMAN BUNN: Let me just make one comment.
12 This Committee recommended that the FDA approve bleomycin a
13 year or so ago, and the studies there were based on the
14 efficacy objective was a 30-day chest x-ray not showing a
15 recurrence of the pleural effusion. That was the primary
16 endpoint of the study which we accepted.

17 That study was purported to also show as a
18 secondary objective a 90-day chest x-ray, and it was
19 reported to this Committee that this agent reduced
20 recurrence rate at 90 days, as well.

21 However, the 90-day data were not statistically
22 valid in my recollection primarily because so many patients
23 had dropped out, not because of recurrent pleural effusion,
24 but because these are sick patients, and the median survival
25 is often only three or four months. So many of the patients

1 had had progressive disease elsewhere, and had dropped off
2 the study.

3 But the majority of what we were presented and the
4 majority of things in the literature used absence of
5 recurrence at 30 days and a chest x-ray as the primary study
6 endpoints.

7 There is a national trial going on, and I think
8 you alluded to it, and I believe the primary endpoint is
9 also 30-day chest x-ray.

10 DR. BEAMIS: Pleurodesis, insufflation versus
11 slurry?

12 CHAIRMAN BUNN: Dr. Dresler, do you want to tell
13 us about the national study that is going on?

14 DR. DRESLER: It is a Phase III randomized trial
15 looking at thoracoscopic talc versus insufflated talc, and
16 there are several endpoints to it, but the main therapeutic
17 one is looking at 30 days, but we are following the patients
18 out for six months in order to see if we can't capture the
19 three months or six months.

20 CHAIRMAN BUNN: Is it using this product?

21 DR. DRESLER: No, it is not. It was initially
22 written that it could use the product, but because of some
23 difficulty in obtaining the drug, there is many questions
24 that come up that are pertinent to the study, and you need
25 to, once the patient is randomized to go to the operating

1 room, they need to do it within 24 hours, so therefore, it
2 is difficult to get access to the drug within those 24 hours
3 because of current restrictions.

4 CHAIRMAN BUNN: Because it is not an approved drug
5 yet.

6 DR. DRESLER: Right.

7 DR. BEAMIS: The best study in the ones that we
8 submitted was a study by Hartman, et al., University of
9 Indiana, and they were not concurrent randomization, but
10 they did compare talc versus bleomycin and tetracycline.
11 Their endpoint was at 30 days and at 90 days.

12 Talc was 97 percent successful based on the chest
13 x-ray at 30 days. Bleomycin was 64 percent. In their
14 study, tetracycline was quite low, only 33 percent.

15 DR. GELBER: Are the 11 patients you just
16 reported, all of the patients that you attempted the
17 procedure in?

18 DR. BEAMIS: Yes.

19 DR. GELBER: No dropouts from that number in your
20 series?

21 DR. BEAMIS: No.

22 DR. GELBER: You also reported to us two
23 additional studies, your own and another one that are not in
24 our literature review.

25 Are you aware of any other people who might have

1 done investigations of this agent, that for one reason or
2 another did not report out their results?

3 DR. BEAMIS: I am not aware. I think there will
4 be some reported later on by the FDA group, but I am not
5 aware of any other studies, and frankly, the paper by Colt
6 and Dumon from Chest, I had thought that they were just
7 describing the technique. I happened to take another look
8 at it last week, and I saw they had mentioned the number of
9 patients in there, but they don't mention a lot about these
10 patients. They just said they treated 12 patients, and they
11 were all successful.

12 DR. GELBER: Twelve is about the average number in
13 most of the studies that are reported, so 12 is not an
14 insignificant number when doing an individual study.

15 DR. BEAMIS: I think you do 12 patients, and you
16 write a paper, I guess.

17 DR. GELBER: That is apparently what has been
18 happening, yes.

19 CHAIRMAN BUNN: Just to clarify, the particular
20 product, those two studies that he presented were with this
21 particular product. The other studies in the literature was
22 something almost identical, but they weren't necessarily
23 sterilized with the gamma irradiation by this company, and
24 they weren't necessarily in this particular type of can.
25 They could have been insufflated with the old style thing

1 that he showed there.

2 DR. GELBER: I see. So the data that we have
3 reviewed are with a different product.

4 DR. BEAMIS: That's right.

5 DR. GELBER: But supposedly the same --

6 CHAIRMAN BUNN: More or less the same product,
7 just minor differences, right.

8 DR. GELBER: So the additional information that
9 you have just shown us might be even more important.

10 CHAIRMAN BUNN: It is with this particular
11 product, right.

12 DR. GELBER: For this particular product.

13 DR. SWAIN: In the Colt study and your study, were
14 any of the patients breast cancer patients who received
15 systemic treatment also at the same time?

16 DR. BEAMIS: We did have some breast cancer
17 patients. I don't know about the Colt study. We had two
18 breast cancer patients. They had failed systemic therapy
19 already.

20 CHAIRMAN BUNN: Could I ask the president of the
21 company a couple of questions?

22 This product you get from some mine in France, and
23 it is stated that there is no asbestos in here. We are
24 pretty naive on the Committee here, we don't know much about
25 manufacturing, and so on, but how do you know that there is

1 not asbestos in there, and how do you know that what the
2 shovel digs out of the mine is the same from one canister to
3 another?

4 MR. ABRANO: First of all, it is an interesting
5 question, but Talc Luzenac probably has the purest mine in
6 the world located in France. It is well known. They have a
7 U.S. distribution office here in Denver, Colorado.

8 The talc that is made for this procedure, the
9 particle size is very well regulated, and it has been
10 chemically tested for asbestos-free in Colorado through
11 their office and also Talc Luzenac in Europe. They are the
12 largest supplier of talc in the world.

13 I have never personally visited the mine, but I
14 understand from people who are in the business, have
15 indicated that their mine is very pure.

16 CHAIRMAN BUNN: So someplace, though, somebody is
17 testing it for asbestos and purity.

18 MR. ABRANO: Yes, it is done in France, and also
19 there is a certain number that is attached to this talc, and
20 this particular talc is also used in food processing in the
21 United States. So I would presume that the testing of the
22 asbestos has been regulated by the FDA since it is used in
23 food.

24 CHAIRMAN BUNN: Obviously, a concern would be that
25 this could cause mesothelioma or some other pleural disease.

1 There wasn't much on the long-term side effects other than
2 it could be an issue.

3 Are you aware of any long-term complications from
4 the use of this, either another malignancy or infections,
5 empyema?

6 MR. ABRANO: I am not aware of any infections, and
7 it has been used in Europe for years in an aerosol
8 container, and we have asked about any clinical papers
9 indicating any irregularities at all.

10 DR. OCHS: Could you talk about the eligibility of
11 the patients to get the talc? Is this primarily a
12 palliative approach in Europe?

13 DR. BEAMIS: Oh, yes, it is definitely a
14 palliative approach. Talc is not a chemotherapeutic agent.
15 People that have died after talc pleurodesis, that have had
16 post-mortem examinations, you can demonstrate that there are
17 tumor cells still within the pleural cavity, within the area
18 that is fibrosed.

19 So this is purely palliation. Certainly, if there
20 are other therapies that are available to the patient, we
21 would encourage them to have that, but many of the patients
22 with breast cancer have already failed systemic therapy.
23 The lung cancer patients, in general, they have failed other
24 therapies, too, or they just don't work as well. This is
25 purely local therapy for palliation.

1 DR. OCHS: I think that needs to be clearly stated
2 because I saw in most of the studies, you have elderly
3 patients, but from personal experience, having had a
4 teenager with a pleural effusion that was given talc, who
5 turned out to be a newly diagnosed lymphoma patient, and
6 certainly in teenagers and young adults, where highly
7 curable lymphomas oftentimes the presenting symptom will be
8 a pleural effusion. I think that needs to be very clearly
9 understood.

10 DR. BEAMIS: I agree it is palliation. In some
11 ways that is one advantage of the thoracoscopy in that you
12 can be assured of the diagnosis. Patients often present
13 with a pleural effusion. If you do a thoracentesis, you
14 might get endocarcinoma cells and just stop there, but we
15 have had two of our series had that diagnosis, and on
16 thoracoscopy proved to have mesothelioma.

17 So I think the systemic therapy is much different
18 in endocarcinoma of the lung versus mesothelioma, so I think
19 it is important for one to know what the diagnosis is and to
20 make sure that they have benefitted from appropriate therapy
21 for that primary disease.

22 CHAIRMAN BUNN: I am going to ask you a question
23 that the FDA usually asks us. We have to approve or
24 recommend approval or disapproval based on whether a drug is
25 safe and efficacious, and we are supposed to determine

1 whether it is safe and efficacious based on some study that
2 is deemed to be an adequate and well-controlled study.

3 For your own information, historical control can
4 be sufficient or well controlled, but in your own view, you
5 have obviously read these papers, obviously, they range in
6 size and quality considerably, do you in your own opinion
7 believe that some of these trials are adequate, well-
8 controlled trials?

9 DR. BEAMIS: I think Hartman's trial is the best.
10 Some of the others I would say probably adequate.
11 Hartman's, I think is very good. Again, it is based on :
12 historical controls.

13 I guess in my own mind I do feel that talc has
14 stood the test of time. I do feel that it is the best
15 agent. The question of delivery and availability of
16 thoracoscopy or getting into the pleural spaces will remain
17 even if this product is approved.

18 I think the safety issue has been, in all these
19 series, I think pretty well proven over the years. Many
20 patients have fever. It appears not to be related to
21 infection.

22 Although empyema is a problem, and it has been a
23 problem with the talc that is available over the years, I
24 know before we started using this agent, we had some USP
25 talc that was sterilized in our institution, and it had a

1 number -- over a six-month period -- a number of empyemas
2 that we could never absolutely prove it was from the talc,
3 but when we stopped using our home-sterilized talc, the
4 empyemas didn't happen.

5 So I think there is always a question of sterility
6 of the agent, and it is very localized, there are no
7 standards, there appears to be no systemic distribution of
8 the talc when it is put in the pleural cavity.

9 There have been some animal studies that have
10 shown talc in other organs, but I think as far as damage to
11 other organs of malignancy, restrictive lung disease, all
12 these safety issues, I think have been pretty well shown in
13 these studies.

14 DR. GELBER: Thank you for the answer to that.
15 That was very helpful. I wonder what aspect of the Hartman
16 study in particular, if you have any ideas you can give us,
17 that selected that one as the one that you would say is the
18 most adequately controlled.

19 DR. BEAMIS: I think it had the largest numbers,
20 for one, and it is a well-respected institution. They had
21 had experience in all of the various areas. They were good
22 at thoracoscopy. I think they did the technique well. I
23 happen to know one of the authors. I am pretty sure -- but
24 I think the study was done correctly, having talked to them
25 about this, I think primarily it is because it has such

1 large numbers compared to other studies. It is more than 12
2 patients.

3 CHAIRMAN BUNN: If there are no other questions,
4 thank you very much.

5 We will go on to the FDA presentation by Lydia
6 Larson.

7 **FDA PRESENTATION**

8 **DR. LYDIA LARSON**

9 [Slide.]

10 DR. LARSON: Good morning. I will be presenting
11 the review on NDA No. 20-587, Sclerosol, which is sterile
12 aerosol talc. The sponsor is Bryan Corporation.

13 [Slide.]

14 The proposed indication for Sclerosol is for the
15 treatment of malignant pleural effusions secondary to
16 malignancies having spread to the pleural space.

17 [Slide.]

18 What I would like to do is take a moment and just
19 go over the trilaminate structure of talc. It has
20 alternating silicate and magnesium sheets, silicate and
21 magnesium, and then silicate again. And it acts as a
22 lubricant due to its planar properties, as you can see
23 across the difference crystals.

24 Now, cation impurities can result from cation
25 substitutions in the magnesium position. As I mentioned

1 earlier, this where your magnesium is positioned in the
2 crystalline structure.

3 These cation substitutions can consist of calcium,
4 aluminum or iron. Now, other contamination, for instance,
5 asbestos, can occur depending on where the product is mined.

6 [Slide.]

7 Talc is documented in the literature as early as
8 1935, when Bethune reported the first use of talc to create
9 pleural adhesions. Then, in the fifties, there was
10 documented use in England, as well as Europe, of talc being
11 used as a pleural sclerosing agent via poudrage, which is:
12 insufflation or slurry.

13 In the sixties, talc (poudrage) was reported in
14 the U.S. as effective management of malignant pleural
15 effusions, however, it was used sporadically at that time,
16 and that was mainly due to the fact that tetracycline was
17 available then.

18 Then, injectable tetracycline no longer became
19 available, and there was increased use of video-assisted
20 thoracoscopy, both for diagnosing and treating pleural
21 diseases, and so poudrage became more viable, which led to
22 the increased use of talc.

23 [Slide.]

24 There is no approved talc product for the
25 treatment of malignant pleural effusions, in fact, USP

1 standards at this time do not require that talc be asbestos-
2 free or sterile. They are moving toward international
3 harmonization with the British, European, and Japanese
4 pharmacopeia.

5 In October of '93, the FDA Centers for Devices and
6 Drugs determined that talc could be approved for the
7 treatment of malignant pleural effusions if certain
8 conditions were met, mainly that it be asbestos-free and
9 sterile.

10 In March of '94, as a result of this, CDER did
11 send out to all talc distributors a letter inviting them to
12 submit an NDA, and Bryan Corporation was one of the few
13 companies that did submit an NDA.

14 Now, the Federal Food and Cosmetic Act does allow
15 a literature-based NDA, so the decision was made that it
16 would be acceptable, Bryan's NDA would be acceptable for
17 filing, however, it is subject to the criteria of adequate
18 and well-controlled trials or studies.

19 [Slide.]

20 As I mentioned, this NDA is literature based, and
21 the three databases that the sponsor used were three of the
22 largest known today, namely, Medline, Biosis, and Embase.
23 Searched parameters were malignant pleural effusions, talc
24 pleurodesis, and chemical pleurodesis, and their results
25 were nine controlled trials (6 articles and 3 abstracts),

1 consisting of talc compared to chest tube only concurrent
2 control, that was one study; five active concurrent
3 controls, and three historical controls.

4 So the Agency verified the search and added the
5 next three largest databases, which are Cancerlit,
6 International Pharmaceutical Abstracts, and Derwent Drug
7 File, and found an additional three controlled trials,
8 however, they are abstracts.

9 They consisted of talc compared to active
10 concurrent control, that is two studies, and one study is a
11 historical control.

12 So as you see it here, we have 12 studies, of
13 which 6 are articles and 6 are abstracts. However, one of
14 the abstracts that the sponsor submitted was an abstract by
15 Hartman in 1992, and that was a preliminary report of
16 Hartman's 1993 article. Therefore, we took it out. In
17 fact, that study was reported at the 72nd annual meeting of
18 the American Association of Thoracic Surgery in Los Angeles,
19 California, back in April of 1992.

20 So when you see this presentation, you are going
21 to notice that Hartman's abstract is not going to be
22 included, and that is why.

23 [Slide.]

24 What I am going to do now is go over the articles
25 first, and then I will move on to the abstracts.

1 This is the study design of the concurrent control
2 articles, and I just wanted to orient you to these tables,
3 because we are going to be seeing quite a few, and they are
4 all set up the same.

5 On the lefthand column, you will find the author
6 and patient numbers per arm, study design, whether
7 stratification did take place, treatment received and dose,
8 and the tumor type if it was reported.

9 I did want to state ahead of time that in all
10 these articles except for one -- and I will get into that
11 later -- a single dose was administered, whether it be the
12 control arm or the talc arm.

13 Now, in all four of these articles, they all four
14 were prospective and randomized. Two of the articles did
15 stratify according to the presence or the absence of
16 metastases requiring systemic chemotherapy.

17 The treatment received was via poudrage in three
18 of the articles, and one was via slurry, and the tumor type
19 in three of the articles was breast cancer, and in one of
20 the articles, in Sorensen's '84 article, it was a variety of
21 tumors, which included ovarian, prostate, mesothelioma,
22 breast, GI, and lung.

23 Now, in Sorensen's article, this was the chest
24 tube drainage with talc compared to chest tube drainage
25 alone, and they did control for the chest tube drainage time

1 equally in both arms, which was 72 hours.

2 I also wanted to mention that the tumor type in
3 Sorensen's was reported in both arms together, so there was
4 no way of separating tumor types.

5 In Hamed's article, '89, actually, one patient
6 received bleomycin in one lung and one talc in the other,
7 and then there were two patients that received bilateral
8 therapy.

9 I also wanted to quickly go over the doses of the
10 control arms. In Fentiman's '86 article, tetracycline was
11 administered at 500 milligrams of slurry. In Fentiman's '83
12 article, mustine was administered at 15 milligrams. In
13 Hamed's '89 article, bleomycin was administered at 1
14 milligram per kilogram.

15 [Slide.]

16 This particular slide is a characteristics of
17 success across the trials. So you have a number of
18 evaluable patients per number entered, definition of
19 evaluable patients, definition of success, method of
20 evaluating success, success in evaluable patients, the
21 results, an intent to treat analysis -- this is the Agency's
22 analysis -- response duration, and symptom relief.

23 In Sorensen's '84 article, definition of evaluable
24 patients was these patients, their lungs had to re-expand by
25 72 hours, otherwise, they were no longer considered

1 evaluable. As you can see, definition of success throughout
2 these four trials was no fluid reaccumulation on all follow-
3 ups. Follow-up time did vary, though, anywhere from three
4 months to 12 months, and in Hamed's, they did say on all
5 follow-ups.

6 Method of evaluating success across the four
7 trials was by chest x-ray, with three of them identifying it
8 at one-month interval, and then two articles did evaluate
9 success every three months after that.

10 As far as success in evaluable patients are
11 concerned, in the talc arm, we had a range of anywhere from
12 90 to 100 percent, and in the controls, the range was
13 anywhere from 33 to 58 percent.

14 Now, if we were to apply an intent-to-treat
15 analysis, the results would have ranged anywhere from 61 to
16 78 percent in the talc arm, and 39 to 43 percent in the
17 control arms. As you see here, we were not able to apply an
18 intent-to-treat analysis in this particular study, Hamed's
19 '89 study. The reason for that is that once they did report
20 their patients, after that, their success rate was reported
21 as procedures, and there was no way of assessing how many
22 patients actually did experience success. So an intent-to-
23 treat analysis was not possible at that time from that point
24 on.

25 Response duration was, in Sorensen's trial, until

1 death in both arms with 10-month median duration, 12 months
2 in Fentiman's '86 trial, 6 months in Fentiman's '83 trial in
3 both arms or until death, and it was not provided in Hamed's
4 '89 article.

5 Symptom relief was described in Sorensen's '84
6 trial. It was 9 out of 9 in the chest tube and talc arm,
7 and chest tube only arm experienced 6 out of 7, and those
8 are evaluables or rather success patients. Symptom relief
9 for the talc arm was described as relief of dyspnea, and in
10 the control arm, they defined it as subjective improvement,
11 but they didn't describe it any further.

12 You have a small fraction here in Hamed's '89
13 article, and basically, that is to remind me to tell you
14 that 2 of the patients in the bleomycin group, 2 of the
15 patients that failed on bleomycin went on to receive talc,
16 and 1 out of the 2 did experience relief of symptoms,
17 however, they didn't describe it any further than that.

18 [Slide.]

19 On this slide are historical-controls articles.
20 In addition to the possible publication biases, the controls
21 are historical in nature and further bias can be introduced.
22 In Adler's study, '67, the study was retrospective in nature
23 with a historical review group. They weren't really
24 historical controls. The medication that was used, the
25 sclerosing agent that was used, was a variety of agents to

1 include thiotepa, nitrogen mustard, radioactive phosphate,
2 radioactive gold, quinacrine.

3 As you can see in both studies, they did
4 administer talc via poudrage, and there was a variety of
5 tumor in both studies, tumor types, that were actually
6 accepted onto the study.

7 In Adler's study, the tumor type in the talc arm
8 consisted of mesothelioma, bronchogenic carcinoma, and
9 breast. In the historical review group, the tumor type
10 consisted of breast, lung, lymphoma, and ovarian.

11 I did want to mention that they did compare age,
12 tumor type, response, chest tube drainage time, also pleural
13 fluid, amount of pleural fluid drained, but they made no
14 conclusions and no comparisons beyond that.

15 In that particular study, the patients were from a
16 previous multicenter study that had taken place at the
17 investigator's institution, which was Buffalo General
18 Hospital, and also another institution, which was Roswell
19 Park Memorial Institute.

20 Basically, the objective of this study was to
21 introduce a new aerosolized unit of talc, and they actually
22 reported experience with four patients in that particular
23 study.

24 Now, in Hartman's '93 article, this was a
25 prospective nonrandomized study, and the patients that

1 participated were from a multicenter study, but it was
2 unclear if the principal investigator's institution was one
3 of them.

4 The dose administered in the control arm consisted
5 of either bleomycin or tetracycline at 60 units or 1 gram
6 respectively, and the tumor type consisted of lung, breast,
7 or ovarian in the talc arm, and they just stated that in the
8 control arm, the tumor type was the same.

9 [Slide.]

10 In Hartman's trial, there were some patient number
11 discrepancies. They had higher success rates at 90 days :
12 versus 30, and didn't further describe that, but I wanted to
13 -- excuse me, let me jump back a moment.

14 They evaluated their patients at 30 days and at 90
15 days, and that is why you have two different figures here,
16 and also they went ahead and reported success rates at both
17 time points for talc, as well as bleomycin, as well as
18 tetracycline.

19 Definition of success was defined in Hartman's
20 article as no fluid reaccumulation, and the method of
21 assessing success was via chest x-ray at one month and then
22 every three months after that. Response duration was not
23 reported in either trial.

24 [Slide.]

25 Now, there is much less information in these

1 studies because they are abstracts. Remember I mentioned
2 earlier that all of the studies administered one dose.
3 There was one study, Muir's '87 study, where talc was
4 compared to doxycycline, where they administered more than
5 one dose of the control drug, and it was slurry, repeated
6 lavage drainage at 20 milligrams per kilogram.

7 They actually did this until "pleural drainage was
8 at a minimum," and they didn't describe it any further.
9 However, four of the studies were prospective in nature,
10 three were randomized, and in Jones '89 study, the patients
11 were allocated to the tetracycline arm if they were
12 identified as a high operative risk patient.

13 Poudrage was the method of administering talc in
14 all four of the active concurrent control studies. Tumor
15 type was identified in one. In Lantos' study, it is
16 uncertain whether this study was prospective or
17 retrospective in nature, however, they did have a control to
18 compare talc to.

19 [Slide.]

20 Evaluables were described in two studies as
21 survival greater than or equal to one month post-procedure.
22 In Boutin's '85 study, the patients had to survive for six
23 months, and in Jones', they did not describe what an
24 evaluable patient was, but as you can see, success in the
25 talc arms in these four studies ranged anywhere from 92 to

1 100 percent, and in the controls, it ranged anywhere from 53
2 to 95 percent.

3 Success was defined in two studies. Method of
4 evaluating success was described in one, response duration
5 described in one study, and symptom relief was not
6 identified in any of these four abstracts.

7 [Slide.]

8 In Lantos' study, '94, it was unclear whether
9 these were actually patient numbers or cases, because they
10 used cases and patients interchangeably throughout the
11 entire abstract, but as you can see, success in the talc arm
12 was 96 percent, and in the controls being oxytetracycline
13 and doxycycline, of 87 and 92, respectively.

14 [Slide.]

15 There were also 24 noncomparator studies that were
16 submitted by the sponsor, and this is the results of
17 efficacy regarding these 24 studies. Total patient numbers
18 were 1,756, which included 1,548 malignant pleural effusion
19 patients. There were also some benign pleural effusion
20 patients, pneumothorax patients, and one study, there was no
21 way of separating patients out. They reported them as
22 benign pleural effusion, pneumothorax, or other, to include
23 empyema or chylothorax patients.

24 Response data per procedure, there were 1,535
25 evaluable procedures of which, as you can see, there was a

1 90 percent success rate in all the reported malignant
2 pleural effusion patients, and a 93 percent success rate in
3 a study where malignant pleural effusion and benign pleural
4 effusion, I was unable to separate the two out.

5 Definition of success was provided in 9 studies,
6 and there was no reaccumulation of pleural fluid for a
7 minimum period of 30 to 90 days.

8 I did want to mention one thing. I did say that
9 there were 24 articles, however, 3 articles were taken out
10 because there were 3 articles that were a series of
11 articles, so 2 were taken out for fear of double counting;
12 and they did report that it was a series of articles, so we
13 left 1 in, and 2 were taken out, and then 1 article we had
14 to take out because patient numbers were reported as greater
15 than 40, success rate was reported as greater than 100
16 percent. So that particular article was not counted in the
17 final analysis.

18 [Slide.]

19 Now, we have no way of assessing the completeness
20 of reporting. This is a summary of safety from the
21 published literature, and this is to include controlled, as
22 well as noncomparator studies.

23 As you can see, fever and pain were the most
24 frequently reported adverse events. About 23 percent of the
25 patients did experience fever and pain. Following were

1 adverse events including infection in nature, respiratory
2 disorders, and as you can see, the range is anywhere from 1
3 to 0.09 percent.

4 [Slide.]

5 This is the continuation of the previous slide.
6 Also, some of the adverse events that were reported were
7 cardiac in nature, and then there were some that we went
8 ahead and put under Other. As you can see, the range in
9 those, the reporting range was 0.2 percent to 0.09 percent.

10 [Slide.]

11 What I wanted to do was focus on the serious of
12 life-threatening toxicities that were described. This is
13 from controlled and uncontrolled trials. There were four
14 reported cases of adult respiratory distress syndrome and
15 two were fatal. They were at a dose of 10 grams, and it was
16 delivered as a slurry.

17 Four cases of pulmonary embolism were reported,
18 and these patients had an underlying malignancy, one
19 resulted in fatality. There were three cases of myocardial
20 infarction. One was fatal. This was in a patient with
21 severe cardiac disease, that died about three months after
22 receiving talc pleurodesis. The patient had received
23 quinacrine first and then talc bilaterally within a few days
24 of one another.

25 There were two cases of asystole reported, and

1 this was while under general anesthesia with complete
2 resuscitation.

3 [Slide.]

4 As far as clinical experience with Bryan
5 Corporation's talc, you have already heard Dr. Beamis'
6 presentation, and I don't want to bore you with the results
7 of Colt and Dumon's study, because Dr. Beamis was very
8 thorough in describing it.

9 While this particular NDA has been undergoing
10 review, Bryan Corporation has made their product available
11 through individual patient INDs, and I am going to go ahead
12 and describe those results at this time.

13 The Agency, in order to gain more experience with
14 Bryan's product, went ahead and collected data on these
15 individual INDs.

16 [Slide.]

17 There were 69 individual patient INDs that were
18 issued for malignant pleural effusion from May 18, 1995 to
19 October 22nd of this year, and that is when we censored the
20 data, so that we could have a one-month follow-up.

21 Out of those 69, we actually received results on
22 38 patients, so we got 38 patient results back, and 3 were
23 lost to follow-up, there were 7 deaths, and 3 failures due
24 to no lung re-expansion.

25 So out of those 38, we had 30-day follow-up on 25

1 patients, 21 of which had no recurrence, 1 is unknown, and 3
2 patients had recurrence. So then out of those 21 patients,
3 we received 12, 60-day follow-up forms back, 2 deaths, 1
4 recurrence, and 9 no recurrence, and then out of those 9, we
5 received 5, 90-day follow-up forms back, 4 no recurrences,
6 and 1 recurrence.

7 The dose that was used in these individual INDs
8 was 1 to 2 cans, which would be 4 to 8 grams.

9 [Slide.]

10 In conclusion, there are both strengths and
11 weaknesses to this NDA. The weaknesses are that it is
12 literature-based, therefore, there could be publication
13 bias, there was incomplete data, and it was difficult to
14 maintain quality assurance.

15 Sample sizes were small, and in many situations,
16 there was no way to tell the source or the purity of the
17 talc that was used. There was variability and unspecified
18 dose of talc, and as you know, achieving pleural synthesis
19 is procedure-dependent and may impact on adverse
20 experiences, as well as success.

21 I want to go back to variability of the dose.
22 What I did very quickly was I summarized the doses that were
23 used in the controlled and uncontrolled trials, and what I
24 am going to do is go over the controls first.

25 There were four studies that actually reported a

1 dose in the control trials. Two reported a dose of 5
2 milliliters, did not provide a concentration. One specified
3 a dose of 3 to 10 grams, and one study they used 10 grams.

4 Then, in the noncomparator studies, there were 10
5 that reported a dose of talc. Seven studies reported using
6 1 to 5 grams, three studies reported using 10 to 10 1/2
7 grams. As I mentioned earlier, in our experience, the
8 individual patient INDs, it was anywhere from 4 to 8 grams.

9 Now, as far as the strengths are concerned, we did
10 have four prospective randomized trials that were reported.
11 There was a response definition in those four, with
12 objective measure via chest x-ray.

13 There was consistency of results over time,
14 institution, and despite different comparator arms.
15 Sclerosol is asbestos-free and it is sterile, therefore,
16 this would be an option for treatment. And it appears from
17 the preclinical data and the clinical experience today that
18 talc is not systemically absorbed.

19 Are there any questions?

20 CHAIRMAN BUNN: Questions? Dr. Krook.

21 DR. KROOK: I really don't have any. I think it
22 is a nice review, and I thank you.

23 CHAIRMAN BUNN: Dr. Ingle?

24 DR. INGLE: Obviously, the Agency has considered
25 the heterogeneity of talc issue. The two randomized

1 clinical trials of talc that are ongoing at the present
2 time, the two intergroup studies, as I remember in seeing
3 those studies, there is a variety of talc preparations that
4 are permissible for those studies.

5 One assumes that the findings from those studies
6 will be applicable to talc in general, I guess the principle
7 all talc is created "approximately" equal, is that a
8 reasonable statement, that the clinical trials that are
9 ongoing will, in fact, relate to this product?

10 DR. LARSON: I am not certain of that. Dr. Martin
11 or Dr. Justice?

12 DR. MARTIN: Since we are using the body of
13 literature that has used a variety of talc to support a
14 particular product, that by extension, we would have to
15 assume that the variety that is being used in the two
16 intergroup studies could also be applied.

17 CHAIRMAN BUNN: Would the FDA recommend to the
18 people doing those studies if this product should get
19 approved, that perhaps this product should be incorporated
20 into those studies?

21 DR. JUSTICE: We have suggested that already.

22 CHAIRMAN BUNN: It would seem reasonable.

23 It is interesting that there are so many abstracts
24 that haven't been published, and these abstracts were
25 largely reported 10 years ago or so.

1 Do you have any idea what happened to these
2 abstracts, why they weren't published?

3 DR. LARSON: As I said earlier, our literature
4 base, when we did our search, we actually used six of the
5 largest literature bases that we know of today, we came up
6 with about 400 articles, and this is it.

7 CHAIRMAN BUNN: I personally, I mean it might have
8 been interesting to call them, but I mean I personally
9 wouldn't view these abstracts as is, I would rule them out
10 as being adequate and well controlled, because they have not
11 been peer reviewed.

12 DR. GELBER: Could I make a comment on that?

13 CHAIRMAN BUNN: Yes.

14 DR. GELBER: It relates to one of the things you
15 mentioned, which is publication bias, and it was an
16 interesting example looking at the studies that were
17 reviewed, that the largest difference was in the
18 historically controlled, and the next largest were the
19 randomized trials that were able to be published, that
20 editors decided were important enough, and if you look at
21 the abstracts that haven't been published that we saw, the
22 differences were less remarkable.

23 So there might be an example right here of the
24 tendency to be as an example of publication bias, and one of
25 the reasons not to push those abstracts forward is they

1 weren't as interesting as the ones that the editors decided
2 to accept, so people lost enthusiasm for publishing. That,
3 by definition, is publication bias.

4 Your point about the question of going to the
5 authors of the abstracts, and even the published reports, to
6 find out do they have information about other series that
7 were done, or what other information to corroborate what is
8 already in the literature, I think would be a pretty trivial
9 thing to at least start to try to do, and get some more
10 information about some of the studies that were inadequately
11 reported.

12 CHAIRMAN BUNN: Dr. Ozols.

13 DR. OZOLS: Of the 69 individual patient INDs,
14 were those done out of many institutions? The collection
15 rate on that data is pretty low. About half of them you
16 received the forms back.

17 DR. LARSON: You mean as far as the response rate
18 that we got back?

19 DR. OZOLS: Right, returned forms.

20 DR. LARSON: We were rather disappointed also. It
21 was only 55 percent of what we actually did send out.

22 DR. OZOLS: How did people know about the
23 availability, how representative are these 69 patients?

24 DR. LARSON: I am going to defer that question to
25 Dr. Martin.

1 DR. MARTIN: I am not sure how they knew about it.
2 I assume they called Bryan Corporation first and then they
3 contacted us to issue an IND.

4 That 55 percent of the forms that were returned
5 was despite numerous phone calls by our project manager,
6 Debbie Catterson, who called them repeatedly and asked for
7 the forms with chest x-rays. So I would say that probably
8 without that diligence, we would have gotten 10 percent of
9 the forms, even though initially, when we issued the IND, we
10 sent them the forms and asked them to sign to show that they
11 would follow through with all of the 90-day forms.

12 CHAIRMAN BUNN: But that is not unusual, right,
13 for treatment IND. Does the company want to say how people
14 found out about these treatment INDs, did you make this
15 known in some way or somehow people just found out?

16 MR. ABRANO: I would believe that people became
17 aware of the talc through the article that was published by
18 Dr. Dumon and Dr. Colt, and it has certainly been word of
19 mouth. I mean we receive a lot of calls daily waiting for,
20 you know, inquiring about the approval or where we stand
21 with the talc.

22 DR. OZOLS: But this is not just one or two
23 institutions, these 69 patients are throughout the country?

24 MR. ABRANO: Yes, they were throughout the
25 country. They are at various institutions, and if they did

1 one institution at first, they were using the same IND, but
2 that was eliminated, and if they called in again, they had
3 to use a new IND.

4 DR. MARTIN: It represented, to my recollection,
5 at least 20 different places, oftentimes private practice
6 and occasionally some tertiary referral centers from the
7 intergroup studies.

8 CHAIRMAN BUNN: That was quite a new review that
9 you did. It obviously took a lot of work. This might not
10 be fair, but you are going to ask us whether we think any of
11 these are adequate. So I will take the liberty of making a
12 little editorial comment, and then asking you a question.

13 Certainly, Dr. Sorensen's article was
14 prospectively randomized, gave a dose, gave a definition of
15 efficacy, reported data on that efficacy, which included
16 both chest x-ray and symptom relief.

17 Certainly, Dr. Hartman's article also gave a dose,
18 had a definition of efficacy, reported the efficacy at
19 various time points.

20 Would you consider those particular articles to be
21 adequate?

22 DR. LARSON: Slide No. 7, please.

23 CHAIRMAN BUNN: You anticipated my question.

24 DR. LARSON: This is an opinion.

25 CHAIRMAN BUNN: That is what I am asking for.

1 DR. LARSON: These, in our opinion, are what we
2 thought were adequate and well-controlled trials, the
3 reasons being, number one, they stated an objective, mainly
4 to prove talc superiority in the treatment of malignant
5 pleural effusion; number two, these described their trial
6 design, and in Sorensen's, it was rather nice, because they
7 went ahead and also controlled for chest tube drainage time
8 equally in both arms.

9 Stratification did take place in two of the
10 studies, so they did attempt at stratifying patients, and
11 the tumor type was also described. I realize that the dose
12 was not given, but it was available in enough of the
13 studies, we felt, where it actually wouldn't make a
14 difference in these particular four studies.

15 They also described the treatment that was
16 actually given as far as talc is concerned and the control
17 arms.

18 CHAIRMAN BUNN: Well, you seem to have gotten some
19 questions on this. Dr. Temple.

20 DR. TEMPLE: The thing that you can't tell in the
21 literature usually is what happened to all the patients, or
22 you often can't tell. In a certain sense, the limited data
23 we got from the IND is better in that respect, because we
24 discovered that a number of patients died, therefore, they
25 probably weren't in the list of people who were evaluated,

1 and some of them were lost to follow-up, and they probably
2 weren't included. Usually, the literature is not so good at
3 that.

4 Do you have any impression from your review of the
5 studies whether that is addressed in them? Can you, for
6 example, tell how many people were given the therapy and
7 then how many patients they actually had data on or lived
8 long enough to reach the 30-day endpoint, and things like
9 that? That is just one element of whether a study is well
10 controlled.

11 DR. LARSON: Yes, in these particular four
12 studies, they did provide information on how many patients
13 were evaluable per patient center, and if I may veer off
14 just for a moment also, they also defined evaluable
15 patients, defined success and method of evaluating success,
16 and reported success in those four studies.

17 Even though Hamed's trial did report procedures,
18 they did report something there, and they attempted at
19 providing information regarding response duration. Also,
20 three of the studies, we were able to apply an intent to
21 treat analysis.

22 CHAIRMAN BUNN: Dr. Gelber.

23 DR. GELBER: I have a comment and then a question.
24 The comment is thank you very much for an excellent review.
25 I must say that if the Committee decides to vote approval,

1 that it would be largely based on your efforts and the
2 efforts of the Agency, and this is another example of where
3 the Agency is not the bad guy in terms of the drug approval
4 process.

5 I also have to say that I am paid to be here by
6 the FDA.

7 [Laughter.]

8 DR. GELBER: The question relates to your next to
9 the last slide, and it is more something I am curious about,
10 because you did show the individual patient INDs.

11 DR. LARSON: Oh, yes, the flow chart?

12 DR. GELBER: The flow chart.

13 DR. LARSON: No. 19, please.

14 [Slide.]

15 DR. GELBER: Now, admittedly, there is a lot of
16 missing data there, but if these were the data we were to
17 look at, what would the success rate be?

18 CHAIRMAN BUNN: Thirty days, 21 out of 24.

19 DR. LARSON: Thirty days.

20 DR. GELBER: Twenty-one out of 25.

21 CHAIRMAN BUNN: Twenty-one of 24, or 21 of 25,
22 depending on --

23 DR. INGLE: The issue here is the 55 percent of
24 the forms returned. Is that a reflection of the drug, is it
25 a reflection of the FDA? My question is this. It seems

1 strange to me, if I had used an agent under IND, and the FDA
2 called me a couple of times, I think I would respond, and
3 this seems fairly casual, for 45 percent of the patients,
4 for you not to have any forms.

5 Now, I think you have to have your denominator be
6 the 69 patients. So I would like the FDA's help on that
7 question, is this a reflection of the drug or what?

8 DR. TEMPLE: You fundamentally can't know the
9 answer to that. I mean some people are more in awe of us
10 than others.

11 [Laughter.]

12 DR. TEMPLE: We are grateful for your attitude,
13 and wish it were more widely shared.

14 I don't think it is safe to assume that there was
15 a lack of success in those. I mean the most conservative
16 impression would be, okay, the denominator is 69, and there
17 are 21 no recurrences, so the success rate is 33, but you
18 would know that that was almost surely an underestimate, but
19 it is one way of describing an conservative analysis.

20 But my guess is that failure to report has more to
21 do with how busy people are.

22 CHAIRMAN BUNN: I asked Alison about this before,
23 and this is typical, and I can just tell, you know, from my
24 own institution, this comes up a fair amount. In the cancer
25 center, the data managers are asked to do this, and then

1 they complain, and they say no one is giving us any money to
2 get these chest x-rays and fill out these forms, and why are
3 we doing this.

4 You say you are doing this because you have an
5 obligation to do it, do it, but I can guess that many
6 places, they don't have a cancer center director telling
7 them that they have to do this, and they had better do it or
8 they are not going to have a job, you know, there is not a
9 lot of incentive to return the data and get the chest x-
10 rays, and you are not getting paid.

11 I would guess this is actually pretty good data
12 collection.

13 DR. MARTIN: Also, we had another methodologic
14 problem, which is oftentimes it was a thoracic surgeon or a
15 pulmonary doctor doing the procedure, and they released care
16 shortly after that procedure, and then we had to track down
17 the primary caregiver.

18 DR. GELBER: Even beyond that, I mean if you take
19 the 38 as the denominator, then, who do you consider
20 successes, what do you do with the 7 deaths, are the 3 no
21 lung re-expansion, failures of the procedure, and then the
22 final issue is do you stop at 30 days or do you want to look
23 at recurrences beyond that point, failure to maintain.

24 DR. JUSTICE: I don't think we have enough data to
25 answer the question precisely, but I think, at least in the

1 literature, early deaths from disease are not considered
2 failures, they may be considered inevaluable.

3 If you were going to be consistent with the
4 literature --

5 CHAIRMAN BUNN: If you were doing it time to
6 recurrence, those people would be censored.

7 DR. GELBER: The point is, in fact, that intent to
8 treat is for one issue, and as you said, dropping out the
9 patients who died early, as long as it is recognized that
10 the success percentages are conditional percentages in terms
11 of the usefulness of the therapy, then, at least if that is
12 stated up-front, those are where the 90 to 100 come from.

13 It doesn't seem to me from the literature that 90
14 to 100 percent of the patients for whom you tried this
15 procedure were successfully treated with it.

16 DR. DELAP: The only other thing I would add is
17 please look at the data at the top, that it is from 5-18-95
18 to 10-22-95. This is a fairly recent innovation on our
19 part, and it is quite likely that we will get some further
20 forms returned on some of those patients, particularly for
21 the longer periods of follow-up where many of the patients
22 probably aren't even out that long yet.

23 CHAIRMAN BUNN: I think it is worth pointing out
24 for the public who are here that oftentimes there is a
25 misperception also that experimental agents or

1 investigational agents are not available to the public, and
2 oftentimes these agents are available to the public through
3 this mechanism, which is often used to provide drugs to
4 people, and it is a lot of work for the FDA and the people
5 that do it, but it makes these agents available, and people
6 should be aware that the consumer does often have access to
7 agents even before they are approved by the FDA with the
8 FDA's concurrence and with a lot of work by the FDA.

9 Are there any other questions?

10 What is the sense of the Committee? Do you want
11 to go to the questions or do you want to take a five-minute
12 break? Go to the questions? Okay.

13 DR. TEMPLE: I just want to say a couple of words
14 about the use of literature. I think actually he has
15 covered much of this.

16 As people probably remember, we have expressed a
17 lot of interest in getting new uses of drugs into the label
18 where possible, and have said that we are at least prepared
19 to look at the literature as a basis for those reviews. We
20 have never said that that is always going to win, but we
21 have said we would take a look.

22 While this isn't a new use exactly, it is a sort
23 of validation of an established use, a lot of the situation
24 is the same.

25 The first question is why it is an issue. Most

1 physicians learn about things from literature and trust it,
2 believe in peer review, and it is sort of the major method
3 of communication. Why would we be so skeptical? The answer
4 is the literature is usually very incomplete with respect to
5 details and methodology, with respect to what happened to
6 patients, who is included, who is not, and those kinds of
7 things, and we learn this every day when we compare the
8 reports of studies with what we see, and we have many
9 instances in which there are a significant disparity.

10 So we have a fairly strong, I would describe it as
11 experience-based preference for access to underlying data,
12 and we are aware of publication bias. Nonetheless, despite
13 all that, we certainly legally can, and on a number of
14 conspicuous occasions have, relied primarily or even
15 entirely on a literature-derived database for approval.

16 Some of the examples are fairly conspicuous. The
17 approval of secretin for diagnosis of pancreatic function
18 was actually based entirely on the literature with no raw
19 data at all. The literature was absolutely fast. Secretin
20 was the first hormone, and people were sort of excited about
21 it. There were well over a thousand publications describing
22 what it did in animals and humans, and the approval was
23 based entirely on that.

24 The approval of propranolol for the treatment of
25 angina was actually based on the literature also. There

1 were data submitted to us, but they were crumby, and the
2 better data were in the publications. There were probably
3 20 controlled trials showing the effectiveness, so there was
4 a certain mass to it.

5 A controversial approval some years ago of
6 imipramine for the treatment of enuresis in children was
7 entirely based on the literature. The approval of
8 nitroprusside for treatment of bad hypertension included a
9 22-patient multicenter study, and a lot of vast literature
10 showing that nitroprusside lowered blood pressure.

11 Recently, actually, an important approval of
12 coumadin for use in the post-infarction setting was at least
13 partly based on a paper published in Hong Kong actually.

14 It is worth thinking about what makes literature
15 persuasive versus what makes it unpersuasive. One critical
16 element is redundancy. When you can't check the actual
17 data, a great deal of consistency, including no exceptions,
18 is, relatively speaking, reassuring, and in certain
19 instances, data without a control group, but where you feel
20 you know what the natural history of the disease is
21 reasonably well can add to the accumulated sense.

22 It also helps to have access to some data. On a
23 number of occasions we have relied primarily on the
24 literature and pursued one or more studies to reassure
25 ourselves that you could do that. So actually, to a degree,

1 even the small data we are getting from the INDs is probably
2 helpful.

3 It is easier to believe in data that shows a very
4 robust effect. I mean 90 and 100 percent response rates are
5 more persuasive than 20 percent response rates.

6 It also helps if the measurements are not too
7 exotic and seem like the sorts of things people can
8 reasonably well do without a great deal of quality control.
9 So that is probably a favorable situation here.

10 But I agree in a sense with what Rick Gelber says,
11 is you should maybe trust abstracts more because they are
12 not subject to publication bias. On the other hand, you
13 know so little about what is in them, that I guess they fail
14 my test for being able to rely on.

15 But in the end, it is a judgment that has to be
16 made that doesn't have terribly precise rules. It is a
17 feeling you get, and I guess I should add that we have been
18 able, where this was critical, to get the records even from
19 the fairly distant past.

20 So that needs to be kept in mind as an option if
21 the data were otherwise almost persuasive, but not quite,
22 you can do that. There is a fair amount of difficulty, and
23 it certainly has not always been considered necessary, but
24 it is a possibility, and we have gone back 15 years
25 sometimes to find data. We have not always been pleased

1 with the results, but we have done that.

2 Anyway, there is no legal bar to doing so, and
3 this does represent something of a model for us. As you all
4 know too well, there is a great many uses of drugs in
5 oncology that aren't in the label.

6 One possibility for getting them in there is a
7 robust published database. I think when we have looked, it
8 hasn't been as robust as we have hoped most of the time, but
9 it is a possibility we need to consider.

10 CHAIRMAN BUNN: You left out -- I don't know what
11 the FDA has done with this recently, but this Committee did
12 hear another literature-based application for the same
13 indication, and the size of the studies and quality of the
14 data was probably quite similar, since it was the same
15 indication and it was pretty similar, and we did in that
16 instance, as a committee, recommend approval.

17 DR. TEMPLE: We had access to one of the studies
18 there. That is a small, perhaps important advantage.

19 CHAIRMAN BUNN: Yes. I think also the Committee,
20 of course, is very aware in this changing health care
21 environment that more and more managed care companies love
22 to not pay for drugs on some basis, and even though we
23 believe in the literature there is adequate reasons to use a
24 particular drug, that may not be good enough for them.
25 That, in my own experience, seems to be an increasing

1 popular way for insurance companies to try to save money,
2 and if that continues, we may see more of these, and it is
3 probably reasonable if that happens, that we do.

4 DR. TEMPLE: But we have been encouraging people
5 to at least take a crack at it, how to interpret them and
6 what we will eventually do still remains to be seen, but at
7 a minimum it is worth a try, and if the worst outcome is
8 that one of the studies has to be looked at more closely,
9 that is still a considerable decrease in effort compared to
10 starting from scratch.

11 DR. GELBER: Just a quick point of clarification.
12 Is this product approved for other purposes, then? Are we
13 talking about expanding a --

14 DR. TEMPLE: No. This is the first human drug
15 application for talc. It is a new molecular entity in our
16 terms.

17 **COMMITTEE DELIBERATION**

18 CHAIRMAN BUNN: We will turn to the questions.
19 There is a little bit of a preamble, but since we have set
20 ourselves up, the first question which the FDA has asked the
21 advisers to provide their advice on is: Which, if any, of
22 the clinical trials, which we have just had reviewed, are
23 adequate and well controlled?

24 Dr. Krook.

25 DR. KROOK: I think it has already been stated

1 from what was presented by the FDA, the four trials which
2 were on the first slide, particularly the Sorensen trial,
3 although it be small, was controlled, and I think those are
4 the four that we have to accept as perhaps adequate and
5 reasonable controlled. I guess that is my use of the words,
6 and I would recommend to the Committee that this question be
7 answered yes, that there are trials that are.

8 CHAIRMAN BUNN: I will take a stab at this as the
9 other reviewer. Sometimes we have a question of what is
10 statistically relevant and what is clinically relevant, and
11 they are not always necessarily the same.

12 Oftentimes, in a particular clinical trial, the
13 statistical question that is being asked is, is one
14 treatment significantly better than another, and I suspect
15 that sometimes the statistical answer to whether some of the
16 trials are adequate is no.

17 On the other hand, as Dr. Temple was pointing out,
18 when you have something that is 90 percent effective all the
19 time, if the comparator is effective 80 percent of the time,
20 and those aren't statistically different, does that mean the
21 product isn't any good or the study isn't adequate to show
22 efficacy.

23 Of course, you get into the comparability issue,
24 and obviously, none of these trials are statistically
25 adequate to show comparability even if they are not

1 statistically adequate to show superiority.

2 On the other hand, my own view is that these
3 studies are adequate for the question being asked, both in
4 terms of showing efficacy and safety. So I concur with Dr.
5 Krook.

6 DR. KROOK: One more comment which I hear our
7 thoracic people say, is that the chest tube alone, the first
8 trial has a chest tube arm alone although it is small, is
9 that that does control some without the talc.

10 CHAIRMAN BUNN: Does anyone, Dr. Gelber, since I
11 have made a distinction between clinically relevant and
12 statistically relevant --

13 DR. GELBER: I would change really one thing you
14 said, Paul, when you said sometimes there are issues of
15 discussion between clinical and statistical. I would say
16 very often or quite frequently that is the case.

17 But I don't think necessarily that the issue is so
18 much statistical versus clinical for me here. It is more
19 the issue that Bob Temple brought out, that we are really
20 being asked to answer a question that is clear in the
21 regulations, of the submitted clinical trials, which ones of
22 the individual clinical trials are adequate and controlled,
23 and yet in Bob's very nice remarks, he used phrases like a
24 certain mass of the literature, a consistency, accumulated
25 evidence, and things like that, that are not present from a

1 single individual trial.

2 So I think we are caught, as we are very often on
3 the Committee, between addressing the issue of single,
4 adequate, well-controlled trial that can be used. I think
5 that any one of the four studies that you mentioned, if we
6 only had one, there would be serious questions as to whether
7 that trial was adequate and well controlled even from a
8 clinical perspective.

9 Seeing the literature, seeing the four together,
10 seeing the supportive evidence, it is a certain mass of the
11 literature that we are voting on, but we have to answer this
12 question here about individual trials, and I think that is
13 really the dilemma we are in.

14 CHAIRMAN BUNN: Dr. Temple.

15 DR. TEMPLE: I may not have been quite clear. The
16 presumption is that you are only talking about literature
17 trials that on their face appear to be well controlled. The
18 question then is, okay, there are a number of trials that
19 appear to be well controlled, should I believe this, and the
20 burden is greater, from our point of view anyway, than if we
21 actually have the data in hand.

22 With the data, we can be reasonably certain that
23 what is said is what happened. In the literature, you never
24 can actually do that directly, you have to take a fair
25 amount on faith, and experience tells you that some of the

1 areas that you have to take on faith are disposition of
2 patients and a bunch of stuff like that.

3 So the presumption is -- there is a sort of first
4 step, which says are these by design and apparent reporting
5 well-controlled trials, and then collectively, does this
6 make a persuasive case, but sort of going in presumption is
7 that they have to look like well-controlled trials including
8 historically controlled trials, or you don't even think
9 about it.

10 DR. GELBER: Can I just follow up on that with one
11 short phrase, which is I am less concerned about the aspect
12 of the well controlled in the four studies that have been
13 mentioned. I mean these were randomized prospective
14 studies. I am more concerned about the issue of adequate
15 for getting the information that we need as individual
16 trials.

17 Specifically, the Hartman report was mentioned as
18 the one that would be important because of its sample size,
19 but I would have problems indicating that as a well-
20 controlled study because of the lack of understanding that I
21 had with respect to the control groups and how they were
22 assembled, and so on.

23 So you have the sample size adequacy in one study,
24 but that is not what I would consider well controlled, but
25 the four that were mentioned, I would consider to be well

1 controlled by design, but not really, or on the margin of
2 adequate as individual trials for demonstrating what we want
3 to show.

4 CHAIRMAN BUNN: Actually, the Hartman trial, I
5 actually sort of think it is adequate and well controlled,
6 not because of the control group in the study, but because
7 of historical controls, just looking at the 39 patients and
8 comparing them to historical.

9 DR. GELBER: Almost as a single-arm, Phase II
10 trial of effectiveness.

11 CHAIRMAN BUNN: Right.

12 Dr. Ingle.

13 DR. INGLE: Just one other requirement about how
14 much faith we put in the literature. Clearly, peer-reviewed
15 literature is essential. There are numerous publications
16 which are clearly sponsored by pharmaceutical firms or that
17 appear in the pseudo-literature, but if a study has stood
18 peer review, for instance, in one case, at a national
19 meeting and then showed up in the peer-reviewed literature,
20 I think that gives us much more, that is a requirement to
21 put any store in the quality of the study.

22 CHAIRMAN BUNN: We are being too kind to these
23 studies, because all of us would wish that some of the
24 studies had been a bit differently, the stratification, the
25 diseases studied, the dose, there is a number of issues in

1 these studies that make them somewhat less than ideal.

2 But on the other hand, we do recognize that being
3 short of breath to the point where you can't walk is not
4 pleasant for patients, and we all know that an effusion goes
5 away, the people feel better, and we all know that taking a
6 chest x-ray and showing there is no effusion is a fairly
7 simple matter, and I think we are all biased by that, as
8 well, or influenced by that.

9 DR. TEMPLE: Some of the questions one would often
10 ask about things about stratification tend to go away when
11 the response rates are 90 percent plus. I mean stratify it
12 all you want, but 90 percent is 90 percent.

13 The controls, the no-treatment controls fairly
14 consistently come out in the 40 to 50 percent range, so
15 there obviously is a nonaccumulation rate of 30 days even if
16 you don't do anything, but that is a fairly large
17 difference. You know, if it were 60-50 or something like
18 that, I don't think we would be here.

19 CHAIRMAN BUNN: Well, I think we would actually.
20 If this worked 90 percent of the time, and they were asking
21 us to approve it, it wouldn't matter if there is something
22 else, 80 percent effective. It is just is this 90 percent
23 effective.

24 DR. TEMPLE: Well, if it was 90 and no treatment
25 at all was 80 --

1 CHAIRMAN BUNN: Oh, well, yes.

2 DR. TEMPLE: Other than drainage.

3 CHAIRMAN BUNN: Yes.

4 DR. TEMPLE: Okay.

5 CHAIRMAN BUNN: So we have a motion that the
6 answer to Question 1 is that there are adequate and well-
7 controlled trials, and they were identified by the FDA, and
8 we concur that these are adequate and well-controlled
9 studies. That was seconded.

10 Is there any further discussion?

11 [No response.]

12 CHAIRMAN BUNN: All in favor?

13 [Show of hands.]

14 CHAIRMAN BUNN: Dr. Ozols can't vote, so we have
15 seven in favor against one abstained, one abstention, 7-0-1.

16 DR. GELBER: Just an explanation on that is, as a
17 group, I would say that there is evidence, but, as phrased,
18 it asks for individual trials, and I have a problem with
19 that.

20 CHAIRMAN BUNN: The second question: Do the
21 identified trial(s) provide substantial evidence for
22 efficacy in the treatment of malignant pleural effusions?
23 We have somewhat beat this up, but Dr. Krook.

24 DR. KROOK: I would make the motion that the
25 identified trials using talc does provide substantial

1 evidence of efficiency in the treatment of malignant pleural
2 effusions.

3 CHAIRMAN BUNN: Thank you for not putting a
4 negative in there, which we always gets these double
5 negatives that confuse all of us.

6 I agree. I think, again, it is the mass of the
7 data. Every article essentially is showing something close
8 to a 90 percent efficacy rate, and I do view that as
9 efficacious.

10 I will also note that the surgeons will continue
11 to do this, because they believe it works whether we vote
12 for this or not, but obviously, our surgical colleagues
13 believe it works as well.

14 DR. SWAIN: I would just like to make one comment.
15 I am a little concerned about using the numbers 90 to 100
16 percent efficacious. When you look at the intent to treat,
17 and you really read these papers, I think that is
18 overstating it.

19 CHAIRMAN BUNN: Yes. If you censored patients, it
20 might be close to 90 percent, but certainly a lot of people,
21 this is a bad disease, and a lot of people die of other
22 causes, and so on.

23 Dr. Ingle.

24 DR. INGLE: I would just like to support what Dr.
25 Swain said. Clearly, the two current randomized studies are

1 very important.

2 CHAIRMAN BUNN: Right.

3 Any other discussion?

4 [No response.]

5 CHAIRMAN BUNN: All in favor of Dr. Krook's
6 motion?

7 [Show of hands.]

8 CHAIRMAN BUNN: Eight. Opposed? Zero.
9 Abstained? Zero. 8-0-0.

10 The third question: Is the safety profile of a
11 single administration of 4 to 8 grams of talc acceptable?

12 Dr. Krook.

13 DR. KROOK: Again, I would make the motion that
14 the safety profile of a single administration of 4 to 8
15 grams is acceptable.

16 The only comment I will have, as I reviewed the
17 literature, there were a couple of 10-gram doses which there
18 appeared as it went above 8, to be problems, as I remember
19 the data. So I think that 8 is the top.

20 CHAIRMAN BUNN: There were I think two fatalities.

21 DR. KROOK: Right. Whether they are related or
22 not --

23 CHAIRMAN BUNN: Yes. Obviously, the serious side
24 effects were quite uncommon, and one of the things that
25 wasn't pointed out was most of those side effects were

1 probably due to the procedure, either the VATS or the
2 thoracoscopy and the anesthesia really rather than the agent
3 itself, and I think actually I would ask -- it was commented
4 on that infections were pretty uncommon, empyema, a little
5 less than 1 percent, but we heard from the company that as
6 far as they knew, there weren't any infectious complications
7 from their product.

8 Alison, do you know, in those treatment INDs, were
9 there any infectious complications?

10 DR. MARTIN: The only serious complication that
11 was reported to us was intubation for a couple of days due
12 to re-expansion and pulmonary edema. We had no other
13 serious ADRs reported.

14 CHAIRMAN BUNN: So I second Dr. Krook's motion
15 that this is sufficiently safe.

16 Is there other discussion about safety?

17 DR. GELBER: Just one more question. Again, it is
18 an estimation of how safe, because it was pretty clear that
19 there is likely to be a lot of under-reporting in the large
20 series of uncontrolled studies.

21 So if your denominator is over 1,000, that is
22 being reported, then, your incidence of things is going to
23 be quite low. I just wonder, taking a number out of those
24 series, is reasonable or should be modified by the potential
25 to miss things.

1 But overall, in terms of I guess serious
2 complications, there didn't seem to be anything reported.

3 CHAIRMAN BUNN: Some of the randomized trials, I
4 think should help also in sorting out complication rates
5 from procedures, as well as from the agent. Once again, if
6 one procedure has a lower incidence of side effects, that
7 would be important, but it is really not the issue here.

8 Any other discussion?

9 [No response.]

10 CHAIRMAN BUNN: All in favor of Dr. Krook's motion
11 that the safety profile is acceptable?

12 [Show of hands.]

13 CHAIRMAN BUNN: Eight. Opposed? Zero.
14 Abstained? Zero. 8-0-0.

15 Question 4 is: Is Sclerosol approvable for the
16 treatment of malignant pleural effusions?

17 Dr. Krook.

18 DR. KROOK: I guess my comments here is that I
19 think aerosol talc is what we have seen or talc as it is, is
20 approvable. I think when we talk about Sclerosol, that
21 there is also a delivery system involved here, and I am not
22 sure we are asking -- maybe I am wrong, maybe FDA is asking
23 us to approve the delivery system also.

24 So my comment here is that I believe aerosol talc
25 is approvable for the treatment of malignant pleural

1 effusions rather than the brand name, although I have to
2 turn to my colleagues and say what are they asking.

3 I mean the studies are talc. We have a 12 -- am I
4 correct -- a 12-person study using Sclerosol.

5 CHAIRMAN BUNN: A 12 and an 11.

6 DR. KROOK: A 12 and an 11.

7 CHAIRMAN BUNN: A published 12 and an abstract 11.

8 DR. KROOK: And we have, if I am also correct, we
9 have the 69 individual INDs. That is my problem with that
10 question, and so if I were to be asked, I would say sterile
11 aerosol talc is approvable for malignant pleural effusions.
12 I am changing the question.

13 CHAIRMAN BUNN: Dr. Temple, do you want to comment
14 on this?

15 DR. TEMPLE: We understand. We will have to reach
16 a judgment about -- well, you could help us on this -- about
17 how likely it is that any form that delivers -- I mean
18 sometimes with aerosols and things like that, you worry
19 about the spray pattern and things like that.

20 That seems less of a problem here than in many
21 cases, because you are sort of doing it under visualization,
22 but your point is certainly noted. I think we have to take
23 that into account.

24 We have certainly been thinking, though, that
25 aerosol data in general would be applicable to this product.

1 CHAIRMAN BUNN: I just say actually, though, in
2 the company's presentation, you know, I think oftentimes a
3 picture is worth a thousand words, and the advantage of that
4 spray is that you can really say whether you have coated the
5 pleura or not, and I think that is a little bit easier to
6 control, so that I think it probably is easier to get a
7 fairly uniform distribution over the pleural surface with
8 that applicator.

9 The real difference from what you are saying is,
10 is the applicator reasonable, and we don't have a lot of
11 data. Twenty-three cases isn't a lot, but, you know, I was
12 impressed by the pictures, that you were able to get a
13 pretty uniform distribution over the pleura with that
14 particular applicator.

15 It wouldn't dissuade me from some other applicator
16 being acceptable, but I am fairly convinced that that
17 applicator seems fairly reasonable based on the pictures.

18 DR. GELBER: Just remember, we only saw one
19 picture of one instance. So, again, I agree with your point
20 that to make it more generic, those are the data we saw, and
21 to encourage review of the data that are coming in on the
22 particular product.

23 CHAIRMAN BUNN: We don't usually let the companies
24 talk, but, Dr. Beamis, do you want to make a comment about
25 whether you think that picture that you showed is fairly

1 representative of the type of uniformity of distribution you
2 can get with this applicator, and especially compared to
3 some other applicator?

4 DR. BEAMIS: We haven't used other applicators
5 with the Sclerosol. I have been to Marseille and seen other
6 people do talc applications with the powdered talc, USP
7 talc, and I think either applicator can do a good job.

8 The visual confirmation, I think is very
9 important, and all of our cases have been visually
10 confirmed. We tape each case, we are not the best
11 photographer through thoracoscope, but --

12 CHAIRMAN BUNN: Obviously show you the best
13 pictures, but in other words, you think that usually, things
14 are pretty much like those pictures that you showed us?

15 DR. BEAMIS: That's right, yes.

16 CHAIRMAN BUNN: Dr. Ozols.

17 DR. OZOLS: I guess the problem of patient safety,
18 I think we should consider. I mean if we are talking about
19 not approving this particular product, other than USP, which
20 again raises the problems of individual pharmacies having to
21 sterilize it, and so forth, and it seems to me you are
22 caught in a bind if you just sort of approve it generically,
23 but there is no sort of approved other substitute.

24 DR. TEMPLE: But we have to deal with an
25 application for a particular product. Our current approval

1 won't be generic, even if your advice is, we will have to
2 interpret it.

3 CHAIRMAN BUNN: I don't know Robert's Rules of
4 Orders, but I would like to propose an amendment. I would
5 like to say that Sclerosol (sterile aerosol talc) is
6 approvable for the treatment of malignant pleural effusions.

7 We can have a discussion on that amendment and
8 vote on it.

9 DR. OCHS: Would you say palliative treatment
10 rather than treatment? Treatment rather implies, and it
11 really isn't, it's a palliative treatment.

12 CHAIRMAN BUNN: Sure.

13 So we have a proposal to amend this to add the
14 word Sclerosol and to add the word palliative.

15 DR. KROOK: I guess my question again -- turning
16 to Bob Temple over here -- does that change it for you? I
17 mean instead of "sterile aerosol talc" or "sterile talc,"
18 however you want, put the word Sclerosol? It's a brand
19 name.

20 DR. TEMPLE: Well, it is the particular product
21 that we are reviewing. I think we are content either way.
22 We understand. We understand what you are saying.

23 DR. KROOK: Then, I accept the amendment.

24 CHAIRMAN BUNN: We will just vote on the amendment
25 then.

1 Any other discussion?

2 DR. TEMPLE: I guess before we leave that too
3 quickly, our probable assumption is that the ability to
4 visualize it won't interfere, the results won't be worse
5 that way than with methods that didn't give you as much
6 control.

7 So I would say that you should know our attitude
8 as reflecting that.

9 CHAIRMAN BUNN: I think that this agent is most
10 likely in the community going to be used via VATS or
11 thoracoscopy, and I think it is unlikely that people are
12 going to put this in through a thoracentesis, and it is
13 really, be definition, almost we are approving the
14 combination of thoracoscopy and VATS with this. But I think
15 that is fine. I can't imagine anybody giving this via a
16 thoracentesis. Physically, it wouldn't go in there.

17 I mean almost by definition, you are giving it in
18 a manner where you can see where it goes. I mean that is
19 just the way the techniques are done now.

20 So we have a vote on the amendment, which is to
21 make the motion be Sclerosol (sterile aerosol talc) is
22 approvable for the palliative treatment of malignant pleural
23 effusions.

24 All in favor of the amendment?

25 [Show of hands.]

1 CHAIRMAN BUNN: That is 8 to zero.

2 Now we will vote on the motion then. The motion
3 is that Sclerosol (sterile aerosol talc) is approvable for
4 the palliative treatment of malignant pleural effusions.

5 All in favor?

6 [Show of hands.]

7 CHAIRMAN BUNN: Dr. Gelber?

8 DR. GELBER: It just seems that the amendment and
9 the motion are exactly the same.

10 CHAIRMAN BUNN: They are. So it is 8 to 0, 8 in
11 favor, zero abstained, zero against.

12 Question 5. Does ODAC have advice for the FDA
13 regarding the acceptability of literature-based NDAs for
14 cancer therapeutics? For example:

15 a. What types of patient populations/clinical
16 indications could be considered as appropriate for a
17 literature-based NDA?

18 b. What types of products could be considered,
19 e.g., cytotoxic, hormonal, supportive care?

20 c. What should be required regarding the quality
21 and the quantity of data?

22 Since I have put Dr. Krook on the line, I will go
23 first on this. We have had some discussion of this already.
24 I think that literature-based NDAs are reasonable for cancer
25 therapeutics. I think if the changes in health care

1 continue to increase the number of denials by insurance
2 companies, particularly for approved products for
3 nonapproved indications, that this might become more
4 important. Actually, I personally hope that it isn't.

5 Historically, most insurers have relied on
6 physician expertise and the literature, and I think it is an
7 unfortunate thing where insurers are not relying on
8 physician expertise or the literature. If that becomes an
9 increasing problem, I hope that we will see increasing
10 literature-based NDAs, but I hope that doesn't happen. I
11 think that is a step backwards for health care in the United
12 States.

13 I personally believe that all types of products
14 listed here, cytotoxic, hormonal, supportive care, could be
15 considered. Regarding the quality and the quantity of the
16 data, obviously, the better the quality, the more the
17 quantity, the better. I would point out that this Committee
18 has gone on record as saying that randomized trials are
19 often easier to interpret than Phase II trials.

20 The cooperative group randomized trials often have
21 fairly good quality monitoring and quality assurance built
22 into the studies, both from an audit point of view and from
23 a statistical design point of view, and generally, I think
24 most people on the Committee feel that randomized studies
25 from cooperative groups are generally quite useful, but

1 obviously, since we approved this without cooperative group
2 randomized trials, there are other ways with bulk of
3 evidence that can be used.

4 Dr. Krook.

5 DR. KROOK: My only thing to add, Paul, is I think
6 that under 5(b), supportive care or palliative care I guess
7 I may change that to, maybe there is a fourth one,
8 palliative care, as Dr. Ochs has brought up, what we went
9 through today, the malignant pleural effusion certainly
10 falls into that, and I suppose supportive care can fall into
11 nausea questions, which aren't palliative care. I think
12 that particularly through literature-based NDAs.

13 I have a little more difficulty with cytotoxic. I
14 think you have got a little bit more commonly through a
15 literature. You have to have some controlled trials when
16 you increase the toxicity without a gain.

17 That is my only comment. I am saying the same
18 about quality and quantity as you are.

19 CHAIRMAN BUNN: Dr. Ingle.

20 DR. INGLE: This has already been mentioned. For
21 literature to be acceptable, there have to be strong
22 assurances of peer review and the minimizing of conflict of
23 interest, which can come from many sources.

24 CHAIRMAN BUNN: There is a lot of debate going on
25 in the community. I have been involved in some of this from

1 the insurers about what is standard treatment, and I
2 personally don't believe that the FDA should be the final
3 common denominator on what is adequate peer review of the
4 literature, and I think if every, you know, every indication
5 for every drug has to come back to the FDA for consideration
6 of is the literature adequate, again, I believe that is a
7 huge step backwards.

8 I believe that there are sensible people who
9 should be in the community, who can make those judgments
10 without bringing every indication for every approved drug to
11 this Committee and to the FDA, but again, that is my own
12 bias. I think in certain instances, like this, this is very
13 useful, but I don't think every drug for every indication
14 should come here for a review of the literature.

15 DR. GELBER: I just wanted to add also that the
16 entire literature should be presented including abstracts to
17 allow the Committee to look at those data, as well,
18 especially because of the tendency not to publish abstracts
19 that are not as exciting as what is appearing --

20 CHAIRMAN BUNN: Again, I think we commend the FDA
21 for making sure that these literature searches are
22 completely thorough and as unbiased as possible.

23 DR. GELBER: And one last point is efforts that
24 could track down additional studies should be pursued and
25 the fact that arms-length literature by itself probably

1 would not be as persuasive as having some of the additional
2 information, for example, that was presented, the supportive
3 information about a consistency throughout, I think is very
4 important to a literature-based NDA.

5 CHAIRMAN BUNN: Dr. Temple.

6 DR. TEMPLE: Despite what some people think, we
7 don't think FDA-approved labeling ought to be the sole
8 determinant of practice either. At least part of the reason
9 for that is that sometimes you do things even before there
10 is decent evidence in support of them, and that is just one
11 of the exigencies of being out there.

12 I think our concern is that we don't want to put
13 things in the label as if they met a standard when they
14 haven't. So we are very sympathetic to the idea that there
15 is a real problem that insurers are not paying for things
16 that doctors think are important, but our immediate question
17 is what standard can we use to put things into labeling that
18 deserve to be there without compromising.

19 As this Committee pointed out repeatedly, there
20 have been many instances where close review of the actual
21 cases, where effectiveness depended on individual cases,
22 often revealed differences between one interpretation and
23 another, and for better or worse, peer review doesn't solve
24 that problem, because the peer reviewer rarely has access to
25 case reports. I have done my share of that, and you are