

BOARD OF SCIENTIFIC COUNSELORS
NATIONAL TOXICOLOGY PROGRAM

AUGUST 14, 1985

CONFERENCE CENTER, BUILDING 101
NATIONAL INSTITUTE OF ENVIRONMENTAL HEALTH SCIENCES
RESEARCH TRIANGLE PARK, NORTH CAROLINA

PEER REVIEW OF DRAFT TECHNICAL REPORT OF LONG-TERM
TOXICOLOGY AND CARCINOGENESIS STUDIES BY THE
TECHNICAL REPORTS REVIEW SUBCOMMITTEE AND PANEL OF EXPERTS

TRANSCRIPT OF PROCEEDINGS

Reported by: Margaret M. Powell/Court Reporter
2918 Barmettler Street
Raleigh, North Carolina 27607
(919) 832-2284

SL 038421

A P P E A R A N C E S

Subcommittee Members

DR. JERRY B. HOOK

DR. FREDERICA PERERA

DR. JAMES SWEMBERG

Panel Members

DR. JOHN J. CROWLEY

DR. KIM HOOPER

DR. THOMAS C. JONES

DR. RICHARD J. KOCIBA

DR. DAVID KOTELCHUCK

DR. FRANKLIN E. MIRER

DR. I.F.H. PURCHASE

DR. STEVEN R. TANNENBAUM

DR. BRUCE TURNBULL

SL 038422

C O N T E N T S

<u>CHEMICAL</u>	<u>PAGE</u>
Decabromodiphenyl Oxide	7
Ephedrine Sulfate	55
Diesel Fuel Marine/Navy Fuel JP05	71
Chlorinated Paraffins (C ₂₃ , 40% Cl)	112
Chlorinated Paraffins (C ₁₂ , 58% Cl)	140
Tetrachloroethylene (Perchloroethylene)	167
Chlorendic Acid	208
n-Butyl Chloride	235

P R O C E E D I N G S (8:30 a.m.)

CHAIRMAN HOOK: Good morning. Welcome. I'd like to officially open the meeting of the Technical Reports Review Subcommittee and Panel of Experts who are assigned to be counselors to the National Toxicology Program. I'm Jerry Hook. Again, welcome to you all. We have a very busy day, and we shall try to get through the agenda that you have in the order of the chemicals to be reviewed today. Our goal is to end around 3:30. I'd like for us to get through both chlorinated paraffins by lunch, so you know roughly what our goal is.

I'd like to welcome the members of our panel. We have one new and old member, Dr. Frank Mirer, who is Direct of Health and Safety Department at the International Union of United Auto Workers. He's joined us again. I recall that he was going off as I came on the first time. We're glad to have you.

One other new member of the Board, Dr. Bob Scala, who is not with us today.

So as we begin, shall we introduce ourselves. First the panel and then members of the audience so we'll all know who we are. Dr. Purchase?

DR. PURCHASE: I'm Dr. Purchase from Imperial Chemical Industries in the United Kingdom.

DR. HOOPER: Dr. Hooper, the State Department of

1 INTRODUCTIONS

PAGE 5

2 Health in California.

3 DR. CROWLEY: John Crowley, from The Fred
4 Hutchinson Cancer Research Center in Seattle.

5 DR. KOCIBA: Dick Kociba, Dow Chemical.

6 DR. MIRER: Frank Mirer, still from the UAW.

7 DR. SCHWETZ: Bernie Schwetz from NIEHS, NTP.

8 DR. RALL: Dave Rall, NIEHS, NTP.

9 DR. HART: Larry Hart, NIEHS, NTP.

10 CHAIRMAN HOOK: I'm Jerry Hook. I work at Smith
11 Kline and French Labs.

12 DR. MCCONNELL: I'm Gene McConnell, NIEHS, NTP.

13 DR. MATTHEWS: Skip Matthews, NIEHS, NTP.

14 DR. HUFF: James Huff, NIEHS, NTP.

15 DR. PIEGORSCH: (Arthur) Piegorsch, NIEHS, NTP.

16 DR. BOORMAN: Dave Boorman, NTP.

17 DR. SWENBERG: Jim Swenberg, CIIT.

18 DR. TURNBULL: Bruce Turnbull, Cornell University.

19 DR. KOTELCHUCK: Dave Kotelchuck, Hunter Graduate
20 School.

21 DR. JONES: Carl Jones, Harvard Medical School.

22 DR. PERERA: Frederica Perera, Columbia University.

23 (Appearances from the Audience.)

24 CHAIRMAN HOOK: Thank you very much. As we begin
25 our discussion today, we'll ask Dr. McConnell if he has any

1 INTRODUCTION

PAGE 6

2 comments in general before we start.

3 DR. MCCONNEL: No.

4 CHAIRMAN HOOK: Dr. Huff?

5 DR. HUFF: No.

6 DR. HART: We just need to announce to our
7 audience that we're taping this meeting. So please speak as
8 clearly as you can. If you're speaking out here from the
9 chairs on the side, please use the microphones on the side
10 or the one by the podium and identify where you're from.
11 Thank you.

12 DR. TANNENBAUM: I'm Steve Tannenbaum from MIT.

13 CHAIRMAN HOOK: I'm sorry, Steve, we missed you.
14 Steve Tannenbaum.

15 Now we're ready to go. To remind you of our
16 procedures, as we begin each chemical the chemical manager
17 will be sitting at the head table and will review the study
18 in general, provide the final summation of the conclusions.
19 We then have from two to four reviewers on each compound. We
20 will go through the reviewers as they're listed on the program,
21 and then we will carry on our debate and discussions and reach
22 a conclusion. On occasion members of the audience will be
23 allowed to make comments. It's my understanding that on one
24 of the compounds after lunch there is at least one individual
25 with some prepared comments.

SL 038426

We are ready to begin then with the first chemical. It's decabromodiphenyl Oxide. Dr. Matthews is the chemical manager. Skip?

CHEMICAL MANAGER: Decabromodiphenyl oxide is a completely (bromade) aromatic ether used as a flame redar-dant and plastic resin for synthetic fibers. Production figures are currently estimated at somewhere around or above ten million pounds per year. It has not been reported as an environmental contaminant. Human exposure is largely limited to production and use.

In a health survey of people involved in produc-tion and use there were some mild health symptoms reported, apparently nothing serious, and it wasn't sure whether these symptoms were due to decabromodiphenyl oxide or to previous exposure to (polybromine) at the same plant.

This chemical has low animal toxicity. Doses up to two thousand milligrams per kilogram resulted in no acute toxicity. Repeat dose studies resulted in mild lesions in liver, kidney and thyroid. This chemical is not (ogenic), and it's not mutagenic in cell (

) not mutagenic in mouse lymphoma assay, and did not cause sister chromatid exchange or aberrations in Chinese mouse ovary cells.

It was not reported or found not to be

carcinogenic in a previous study using Sprague-Dawley rats in doses from .01 and 0.1 and 1.0 milligrams per kilogram per day. The chemical was nominated to the NTP and studied as part of the class study in flame retardants.

In this study, the fourteen-day and thirteen-week studies failed to detect any compound-related effect when administered at the maximum doses. Therefore, in the two-year study doses were set at fifty thousand parts per million as one-half, MTD and MTD, twenty-five thousand parts per million.

The results of these studies indicate that survival and body weight were unaffected in rats, except for low-dose males, and this became significantly different only in the last two weeks of the study. And it's probably not compound-related.

In mice survival of control males was decreased quite a bit. This is presumably due to fighting. Body weights in the males were unaffected.

Conclusions coming from this study were that some evidence of--that there was some evidence for carcinogenicity in male and female rat liver as evidenced by increased incidence of neoplastic nodules in the liver. And there was equivocal evidence of carcinogenicity in male mice as evidenced by increased incidences of hepatocellular adenoma and

1 TETRACHLOROETHYLENE

PAGE 167

2 A F T E R N O O N S E S S I O N (1:33 p.m.)

3 CHAIRMAN HOOK: Shall we reconvene?

4 For those of you who weren't here this morning,
5 our procedure is to identify the compound and the chemical
6 manager. The chemical manager will review the study for us.
7 Then our principal reviewers will present their comments.
8 And in the case of our first chemical we will have some
9 comments from the audience. And then the chemical manager
10 will respond, and then we will open the panel up for
11 discussion.

12 The first chemical compound is Tetrachloroethylene,
13 Perchloroethylene. Dr. Mennear?

14 TETRACHLOROETHYLENE

15 CHEMICAL MANAGER: Tetrachloroethylene, also
16 commonly referred to as perchloroethylene, or PERC, is an
17 industrial solvent used in a variety of processes such as
18 metal degreasing and dry cleaning.

19 In 1983, two hundred and fifty kilograms of tetra-
20 chloroethylene were produced in the United States. It has
21 been estimated that eighty-five percent of the tetrachloro-
22 ethylene used annually is lost to the atmosphere.

23 PERC was nominated because of its widespread
24 industrial use and because of the potential for human exposure.
25 NIOSH estimated that approximately five hundred thousand

SL 038429

Americans are exposed to this chemical in the workplace.

Our studies of the toxicology and carcinogenicity of ninety-nine percent pure tetrachloroethylene were conducted by exposing Fisher 344 rats and B6C3F1 mice to air containing tetrachloroethylene which was stabilized with N-methylmorpholine for six hours a day five days per week for 103 weeks. The exposure concentrations used in the chronic study were 0, 200 parts per million and 400 parts per million for rats and 0, 100 and 200 parts per million for mice. These doses were selected on the basis of the results of thirteen-week inhalation studies also conducted in rats and mice.

In these thirteen-week studies, 1600 parts per million of tetrachloroethylene was found to be lethal to a few of the male and female rats and mice. In rats, doses of 200 parts per million or more produced minimal to mild hepatic congestion. In mice, 200 parts per million or more caused minimal to mild liver and kidney changes. Liver changes included leukocytic infiltration, centrilobular necrosis, bile stasis and mitotic alterations. Kidney changes were karyomegaly of the tubular epithelial cells.

During the two-year studies, body weight gains for dosed rats and mice were essentially similar to those of the control animals. The survival of male rats dosed with 400 parts per million of tetrachloroethylene was significantly

reduced. This decreased survival may have been related to an increased incidence of mononuclear cell leukemia in dosed males.

There was a compound-related increase in the number of early deaths that were attributed to mononuclear cell leukemia while deaths among the male rats from other causes remained unchanged. The survival of dosed female rats was not affected by tetrachloroethylene.

The survival of both groups of dosed male mice was reduced relative to controls; however, untreated control survival in this study was unusually high with ninety-two percent of the animals living until the scheduled termination of the study. Exposure to 200 parts per million significantly reduced the survival of female mice. The early deaths among both male and female mice may have been influenced by the development of hepatocellular carcinoma.

Both exposure concentrations of tetrachloroethylene were associated with increased incidences of mononuclear cell leukemia in male and female rats. In females both concentrations reduced the time to the diagnosis of the tumor.

Tetrachloroethylene caused renal tubular cell karyomegaly in both male and female rats and renal tubular cell hyperplasia in males. There was also an increase in renal tubular cell adenomas and adenocarcinomas, combined,

in dosed male rats. The renal changes have been consistently found in our earlier chronic studies of chlorinated ethanes and tetrahydroethylenes.

Four high-dose male and two high-dose female rats had gliomas of the brain, but one control male and one control female also had the tumor.

In both male and female mice, exposure to tetrachloroethylene caused increases in hepatocellular neoplasms, with increases in the incidences of both adenomas and carcinomas being detected in males and increases in carcinomas being detected in females.

Tetrachloroethylene also caused renal tubular cell karyomegaly in both sexes of mice, and one low-dose male also had a renal tubular cell adenoma.

There were no neoplastic changes in the respiratory tracts of either rats or mice, but there was an increased incidence of squamous metaplasia in the nasal cavities of dosed male rats.

There was no evidence of mutagenic activity for tetrachloroethylene when it was tested with or without activation in four strains of salmonella. Tetrachloroethylene was also inactive in the mouse lymphoma, Chinese hamster ovaries, sister chromatid exchange and drosophila tests.

During the audit of the data of this study, a

SL 038432

few untrimmed lesions were found. All were adequately resolved and had no impact on the interpretation of the results.

Under the conditions of these inhalation studies, there was some evidence of carcinogenicity of tetrachloroethylene in F344 rats as shown by increased incidences of mononuclear cell leukemia in males and female and renal tubular cell neoplasms in males. There was clear evidence of carcinogenicity in B6C3F1 mice as shown by increased incidences of both hepatocellular adenomas and carcinomas in males and of hepatocellular carcinomas in females.

CHAIRMAN HOOK: We'll begin with our reviews. Dr. Swenberg?

DR. SWENBERG: I thought this was a well-written Report of a well-conducted study on tetrachloroethylene. It's encouraging to see this one compared to the gavage studies.

Items that should be discussed prior to the acceptance of the Report include the following:

On page 16 there should probably be some mention of tetrachloroethylene as a ground water contaminant. That's one of the most common routes of human exposure, albeit low doses.

Pages 53 and 84 refer to renal lesions and comment on their likeness to the hydrocarbon-induced nephropathy.

I think that in order to make this correlation some additional work will be necessary. This will be the staining of sections for hyaline or protein droplets that are characteristic of hydrocarbon nephropathy. It's my understanding this is underway. If they are present, then their conclusion is supported. If they are not present, I'm afraid it's rejectable.

Page 54 and the Abstract should clearly point out that the renal tumors present in the rats were not statistically significant, but that similar lesions have been noted in the prior gavage studies.

Page 57. I questioned the statistics that were present on interstitial cell tumors of the testicles. It seemed highly unlikely to have values of .001 for such small differences, but maybe I'm wrong.

Page 58. The thromboses that were observed in the nasal cavity are most likely secondary to mononuclear cell leukemia. We've noted a very high incidence of this lesion in nasal cavities of leukemic rats and have never seen it in non-leukemic rats. So I'd like to see the records of the gross or the other lesions reviewed in that regard.

To get into two of the more substantial aspects of this, I think the Report should very clearly state that the interpretation of mononuclear cell leukemia is really based on the standard method of data evaluation and that it's

1 additionally supported by the dose-response effect on tumor
2 latency and the staging evaluation. The tumor latency data
3 should include the mean latency by dose group for animals
4 dying prior to the terminal sacrifice.
5

6 And I think it should also point out that this
7 staging effort is really a preliminary effort and may require
8 additional refinements. We have to find out if it really
9 is giving us meaningful data before we hang our hat on it
10 very strongly.

11 The Stromberg and Vogtsberger paper was cited
12 in this Report saying that mononuclear cell leukemia has a
13 course of two to six weeks. And I think if that in fact is
14 the case, then staging is really irrelevant. That citation,
15 by the way, was incomplete and could not be referred to by
16 anyone the way the report was. You need to get that citation
17 in there.

18 The discussion of this Technical Report should
19 be expanded to discuss possible mechanisms of carcinogenesis
20 of tetrachloroethylene. In particular, it should point out
21 that the mutagenicity studies were negative and that it
22 caused tissue toxicity at the same site as neoplasia in at
23 least two of the three tissues, i.e., the mouse liver and
24 the rat kidney.

25 And I don't think we really have a good handle

SL 038435

1 TETRACHLOROETHYLENE

PAGE 174

2 on whether it's caused a similar type of lesion in the immune
3 system of the rat. This is somewhat of a difficult tissue
4 to evaluate from the pathology standpoint for toxicity. But
5 I know the NTP has an immunotox program, and I would strongly
6 recommend that this compound be examined by them for its
7 effect on immunotoxicity.

8 The particular endpoint we're talking about here--
9 that being mononuclear cell leukemia--has one of the sharpest
10 age response incidences for tumor induction of any endpoint
11 I know of. And if we were affecting the age of the tissue
12 through toxic responses, we might see this kind of response.
13 So I think it is a very important project for future work
14 of the NTP.

15 I think those are the only comments I have. I
16 basically agree with the conclusions of the draft Technical
17 Report.

18 CHAIRMAN HOOK: Thank you. Dr. Mirer?

19 DR. MIRER: I also thought this was a well-
20 conducted, very important study. I will want to talk about
21 the conclusions at the end.

22 Under Editorial Comments:

23 The OSHA limit for perchloroethylene is incorrectly
24 stated on page 16, it's a hundred parts per million, which
25 is equal to 680 milligrams per cubic meter, not fifty parts

per million as stated in the introduction. That latter number, the fifty parts per million number, is a NIOSH-recommended limit. And I guess I should say that although it is generally inappropriate to discuss considerations for human risk in these Reports, the fact of testing a substance at the existing exposure limit and finding a substantial effect at that level ought to be noted.

Throughout the introduction, doses are quoted in a variety of units. For example, dry cleaning exposures are quoted in mg/M^3 all other inhalation exposures in parts per million. And I think it would be helpful to convert these. And also to attempt to estimate the dose perchloroethylene in rats and mice in milligrams per kilogram per day. This would permit some quantitative comparisons of the results of the gavage and inhalation studies and eventually comparisons to the human exposure levels.

Under non-tumor pathology, to repeat my previous theme, the summary should place greater emphasis on the doses associated with the appearance of non-tumor pathology. Kidney lesions at two hundred parts per million exposure in male and female rats and other lesions of male and female mice at a hundred parts per million, together with the failure to demonstrate a no-observed-effect level for these pathological changes should be pointed out.

In addition, the text now associates these effects with a range of doses, especially in the thirteen-week studies, without making a call at what level of exposure they first appear.

Now, back to semantics. Under conclusions, I agree with the conclusions of "clear evidence of carcinogenicity in both sexes of mice." I also think the conclusion should indicate "clear evidence" of carcinogenicity in male and female rats to be consistent with the definitions of these categories. The neoplasm is malignant. It is present in increased incidence, and the increase in this study appears to be chemically related by all the usual criteria.

The use of the life table test for statistical analysis is well justified by the text and is, therefore, judged appropriate. In the alternative, should the previous method of non-time dependent pairwise comparison be used, elevations were significant by trend test and marginally significant in pairwise comparisons; for female rats elevations were statistically significant by trend test and in pairwise comparisons using the late, lamented Benferroni inequality criterion.

The finding is apparently viewed as less convincing because control animals in this group showed a high rate of mononuclear cell leukemia compared to historic

controls. Despite this, rates of tumors in the treatment groups were significantly elevated over the concurrent control and presumably markedly higher than historical controls. There is no biological or other explanation advanced for using a high incidence in controls to discount the significance of elevations in the treated animals. Indeed, the historical/concurrent control argument is usually stated in the other direction.

The increased incidence which appears in the crude analysis persists when the exercise is extended by staging and comparing groups by time of death. This analysis should lend additional weight to the finding. And I add that it would be desirable to add statistical tests to the data on Table 25, page 77.

The leukemia evidence is bolstered by the presence of renal tubular cell adenomas and carcinomas in male rats. These rare tumors would alone constitute some evidence of carcinogenicity in male rats.

Therefore, I do not agree with the conclusion regarding the level of evidence in rats. Although I should say that this "clear" versus "some" debate, which is new to me this term--I'm quite clear where we're going with that today.

(Spontaneous discussion.)

SL 038439

CHAIRMAN HOOK: I think we're going in circles.
Thank you, Dr. Mirer. Dr. Turnbull?

DR. TURNBULL: Well, my first comment was just
to remark that there is no sentinel animal in this study.

My next remark concerns historical rates. And
this has come up with leukemias. And the first point about
that is a generic one that, for instance, in the analysis
on page 52, leukemias for the rats, it states, "Historical
incidence of, say, twenty-seven percent plus or minus nine
percent." It wasn't clear to me whether that was one standard
deviations, two standard deviations or extremes experienced
in the historical studies.

It turns out from Appendix F that it is in fact
one standard deviation. So the range could even be bigger
than what's seemingly suggested by twenty-seven plus or minus
nine.

Also, with these historical controls, I'm not
sure what the fair comparison is, whether, for instance, we
should have--one historical comparison might be just with
control groups in inhalation studies. Just as perhaps with
a dermal study, one historical control group for comparison
should be other skin painting studies rather than just all
control groups.

That leads on to my next point. Apparently, there

1 TETRACHLOROETHYLENE

PAGE 179

2 was another concurrent control group going on at the same
3 time in the same lab, also an inhalation study. That was
4 the one we reviewed last time, methylene chloride. These
5 are very insensitive screens that we're doing. And I'm always
6 looking for more power.

7 One way to do that would be to include this
8 second concurrent control group if that's fair. It seemed
9 that conditions were right. This is the same time and the
10 same lab. But apparently it might have been a different
11 pathologist. I don't know what that means when it's a
12 different pathologist. I had hoped that with the reviews
13 and the coded readings and the PWG and everything, that that
14 would somehow control the interpathologist variability, but
15 maybe someone can put me right on that.

16 Anyway, if you do, then I asked NTP to do the
17 analysis. It was just given to me five minutes ago, and I'm
18 not sure whether there are any differences or not. I haven't
19 been able to assimilate this work using the larger--the
20 enlarged--data.

21 Anyway, even if you don't agree with including
22 it as a concurrent control group, then perhaps it should have
23 been included in the historical data base. Because it is
24 history, it has been approved, and we only have two inhalation
25 historical groups. And all the rest of the thousands of

1 TETRACHLOROETHYLENE

PAGE 180

2 animals were not inhalation studies from what I understand.
3 I don't know whether that makes a difference or not.
4 Certainly, I would think it would make a difference in skin
5 painting vehicle controls.

6 Okay. The high incidence of leukemia in control
7 rats--now this is very similar to that first chemical that
8 we reviewed today, the decabromodiphenyl oxide, which also
9 had a high incidence of leukemia in the rats if I recall
10 right. There, in the study, NTP tried to play down the
11 statistical significance because it was an abnormally high
12 rate. No such attempt to downplay the significance was don
13 in this Report, although it seems to me that there was
14 randomization going on here. There was uniformity of
15 pathology. So it should be fair enough just to compare the
16 groups here.

17 I would like to know what the incidence was of
18 leukemia in the methylene chloride, control group. I don't
19 have that with me. Is that available?

20 CHEMICAL MANAGER: I don't remember the exact
21 number, but it was within two percentage points.

22 DR. TURNBULL: So it's very close to this?

23 DR. HUFF: Yes, it is.

24 CHEMICAL MANAGER: Yes.

25 DR. TURNBULL: So I'm almost prepared to forget

the low--I don't think this is a point, the high rate of control leukemias.

(COMMENT): The control was sixty-eight percent.

DR. TURNBULL: Sixty-eight percent in the methylene chloride?

So I think this is a fair analysis here. I agree with Dr. Mirer on the point he was making about the leukemia in rats.

This special analysis on staging of leukemia, I'm a little skeptical about it. It does look a little bit like data dredging to me, so I would downplay those P-values some for that special analysis.

CHAIRMAN HOOK: Thank you. Dr. Jones?

DR. JONES: I think almost everything I was going to say has been said. Essentially, I agree with the conclusions of the Report. There are a couple of editorial and other comments I would like to make.

The first thing I noticed, as I had noticed in quite a few of the Reports, there seems to be no clear correlation between the results of the mutagenicity tests and the bioassay tests. And I missed a meeting, and I wonder if such a study of these correlations have been made, and has it been presented to this group? If so, I missed it.

CHAIRMAN HOOK: It wasn't presented here; I believe

it was presented to the Board.

DR. JONES: Has that been published? Can we get anything on it?

DR. MCCONNELL: We will take care of getting you some of the publications on that.

DR. JONES: I'd be very much interested in that. I think that we have sort of passed over that as we've gone along, but it seems to me it's something we probably should notice.

From an editorial standpoint, I noticed that many of the reference citations are incomplete, as they were in many of the Reports today. I don't know whether that's a code, you have some way of picking these up later on or what happened. And I know that you couldn't find those references when they just had the year and the name of the person. Is it intended that they're going to be completed later?

CHEMICAL MANAGER: Oh, yes. They will be completed later. The material is all sent out of town for typing and putting into this final form. And the contractor who does it has been instructed not to try to complete a reference until they have the hard copy in their hands. Sometimes it will be the chemical manager who is negligent and doesn't get the hard copy to the people who are typing these reports. That's why some of them are incomplete.

SL 038444

DR. JONES: It's been my experience that if you dump a lot of sand in the stream, it's very hard to get it all out downstream a mile or so. I hope it doesn't come to that.

(Spontaneous discussion.)

DR. JONES: Concerning the conclusions, I point out that the first sentence--and I'll read it: "Under the conditions of these inhalation studies, there was some evidence of carcinogenicity of tetrachloroethylene in F344/N rats as shown by an increased incidence of mononuclear cell leukemia in males and female and rare renal tubular cell neoplasms in males."

Now I assume that that sentence means that there's two bits of information. The renal cell tumors and the mononuclear cell leukemia. Those two factors were the reason for saying that there was "some evidence." It wasn't one or the other, it was both of them. That's the way I read it. I assume that's the way it was intended.

And I have no more comments to make on this very excellent Report.

CHAIRMAN HOOK: Thank you.

The Halogenated Solvents Industry wants to respond to the study. You all have copies of their written comments. And I believe that we have a representative who

wants to make some comments to us now.

DR. ROBINSON: Good afternoon. My name is Thomas Robinson of Vulcan Chemicals, and I am here to speak on behalf of the Halogenated Solvents Industry Alliance regarding the recent draft Technical Report for perchloroethylene inhalation bioassay. You have already received a copy of our written comments and consequently I will keep my remarks brief.

I do wish to point out that on Table 3 of the written comments, the last entry under female rats should read eighteen out of fifty, not fifteen out of fifty.

Of major concern is the NTP's finding of "some evidence of carcinogenicity of tetrachloroethylene in Fischer 344/N rats as shown by increased incidences of mononuclear cell leukemia in males and females..."

We do not believe that such a finding is warranted based on our brief review of the Technical Report and its underlying data. Although there is an equally increased incidence of leukemia in dosed female rats, the incidence of mortality at both dose levels is the same as in untreated controls. Further, the number of animals and the number of leukemias for all dose levels at terminal sacrifice were essentially the same.

A similar lack of correlation of mortality with

with the incidence of leukemia is also seen in the male rat. Even though the high dose male rat had a higher early mortality than the low-dose animals, the incidence of leukemia was essentially the same for both dose levels. In contrast to this observation was an equivalent early mortality in both untreated and low-dose animals.

There are two additional issues which must be considered regarding the association of mononuclear cell leukemia with exposure to perchloroethylene. There was an unusually high incidence of leukemia in control animals in the perchloroethylene bioassay. In both male and female rats, the rate of leukemia is more than twice that seen in historical controls for the NTP program.

In fact, the incidence of leukemia in male rats reached a record high fifty-six percent, which was ten percentage points above the NTP's previous upper bound as contained in the draft Technical Report.

It is important to note that in the methylene chloride study, the incidence of leukemia in male control rats was sixty-eight percent. Moreover, the Battelle propylene and propylene oxide studies had a leukemia incidence in male rat controls of thirty-two percent and forty percent respectively. This high and apparently increasing incidence in Battelle controls raises questions as to the ability to

SL 038447

draw any conclusions from the perchloroethylene study relative to the incidences of leukemia as evidence of carcinogenicity.

The second issue is of even greater significance since it may have long-term implications for the NTP bioassay program. This study is the first to base a conclusions, at least in part, on the staging of mononuclear cell leukemia in Fischer rats. The staging of leukemia and other cancer is for the assessment of the degree of neoplastic progression. Staging requires knowledge of the biological course of the cancer and a correlation of the morphological findings with duration of the disease and the severity of the effects.

The biological course of the Fischer rat leukemia is not well understood and it is questionable whether the current staging method used by NTP actually reflects this correlation. Some examples of the perceived deficiencies in the current staging method were given in our written comments and need not be addressed here other than to say they exist.

To insure the proper interpretation of the significance of mononuclear cell leukemia in the perchloroethylene study and in future bioassays, staging criteria must be established which will reflect as closely as possible disease duration and the extent of progression. Therefore, we propose the co-sponsoring with NTP of a panel of experts

in Fishcer rat leukemia to develop an appropriate staging scheme and to evaluate the rat leukemia pathology in the perchloroethylene study.

Unless the significance of the mononuclear cell leukemia is established by a valid staging scheme, we are concerned that the current procedure may set a precedent that will undermine the objective evaluation of this and of future bioassays.

The NTP occluded that there was "some evidence of carcinogenicity" in male rats based on a slight increase in kidney tumor pathology; however, the incidence of combined adenomas and adenocarcinomas was not statistically significant. Perhaps this finding has some other explanation related to the in-life phase of the study. Moreover, due to the brief interval between the issuance of the draft Technical Report and today's meeting, we were unable to examine in any detail the mouse portion of this study.

Although we're aware that an audit of the perchloroethylene inhalation bioassay has been conducted by Dynamic Corporation, HSIA will also conduct its own audit of this study.

Regarding the issue of mononuclear cell leukemia in the rat, we urge the development of a suitable staging scheme for this lesion by an expert panel. Further, based

1 TETRACHLOROETHYLENE

PAGE 188

2 on the lack of correlation of the incidence of leukemia with
3 mortality, and more importantly, the extremely high incidence
4 of leukemia in Battelle controls, we believe that a finding
5 of "some evidence of carcinogenicity" is NOT warranted. The
6 present data is inadequate to establish the significance of
7 the incidence of leukemia relative to perchloroethylene
8 exposure.

9 We appreciate the opportunity to make this
10 presentation to the Board of Scientific Counselors, and I
11 will attempt to answer any questions. Thank you. I also
12 have additional copies of our written comments should some-
13 like to have one.

14 CHAIRMAN HOOK: Thank you.

15 At this time I should say that this is the only
16 request from someone to make comments from the audience. We
17 will take other contributions from the audience at this time.

18 (No response.)

19 CHAIRMAN HOOK: There being none, then Dr. Mennear,
20 respond, if you would, to the Panel.

21 CHEMICAL MANAGER: All right. I think many of
22 the concerns voiced by the reviewers as well as by Dr.
23 Robinson overlap, so I'll not try to differentiate who brought
24 what up, but just comment on these things as we go along.

25 First of all, I want to point out or agree that

we should not make a conclusion of presence or absence of carcinogenicity based upon staging of the leukemia in and of itself. As Dr. Robinson noted, we are simply at the beginning stages of this kind of diagnosing.

But I also want to point out that if you look at the statistical tables, our routine statistical tests do indeed show statistically significant increases in the incidences of mononuclear cell leukemia in these animals.

One of the things that we thought we wanted to do was go in and look more closely at this. So we're using the staging, the special Kaplan Meirer curves, special Kaplan-Meirer curves, that we put in the discussion section. We're using all of this as a way of trying to understand better what the data is trying to tell us.

And as we have gone through, one of the things that the data seems to be telling us is that, indeed, in the female rat exposure to tetrachloroethylene the animal develops mononuclear cell leukemia earlier. Now, it's also been pointed out that it seems strange that if we've got more mononuclear cell leukemia in the female rats, we should also have a greater incidence or effect on survival. And in the case of female rats, survival was the same in the dosed groups as it was in the control groups.

We went back and began looking at what the

1 TETRACHLOROETHYLENE

PAGE 190

2 pathologists diagnosed as the cause of death of these animals.
3 And we found some rather interesting data.

4 Considering only the early-death animals--by the
5 way, I'm trying to articulate this without going back to my
6 old trick of using the overhead projector. If I can't get
7 it out in words, I've got the data on an overhead, and you
8 can look at the numbers.

9 But what was found was when the mononuclear cell
10 leukemia was considered to be the primary cause of death in
11 these female rats, we got a dose-related increase in the
12 incidence of death considered to be due to mononuclear cel
13 leukemia. The incidence was 8, 18 and 20 in animals dying
14 primarily due to mononuclear cell leukemia.

15 And we started wondering what happened to the
16 other deaths. And as we looked at what some of these other
17 deaths in the control animals--what were the primary causes
18 of other deaths in the control animals--we found two categories
19 that particularly stuck out.

20 One was animals that died from pituitary adenomas
21 or pituitary carcinomas. And we found that in our control
22 group six animals died in this category. In our low-dose
23 group we had only two animals die in this category. In our
24 high-dose group we had only one animal die in the category.

25 Another cause of death that stuck out was anima

that had to be killed for humanitarian reasons because of large mammary gland or (clitorol) gland tumors. Again, we found six animals in the control group that were killed early. And these deaths, these early terminations, were between weeks 84 and 98 of the study. So they were rather late in the study.

In the low-dose animals we found only four animals that had to be killed early, and in the high-dose group we found three animals that had to be killed early.

Now, this raises an obvious question. Does tetrachloroethylene protect against pituitary tumors and mammary tumors and that sort of thing? And the answer is no. If we go through and irregardless of what the primary cause of death in these animals might have been, if we just total up the number of animals that had pituitary tumors and total up the number of animals that had the mammary or the (clitorol) gland tumors, we find that across the board all animals--that the animals had the same rate.

So the conclusion that we've come to here is that the mononuclear cell leukemia in the female rats is causing the death of these animals before these other tumors can develop to a stage sufficient to kill the animals.

If you look at the median time to death of the early-death animals and the diagnosis of mononuclear cell

SL 038453

leukemia, we find that the median time to the diagnosis in the controls was 98 weeks, the median time to the diagnosis in the low-dose animals was 87 weeks, and the median time in the high-dose animals was 89 weeks.

I think that an important question that came up is this business about the historical incidence of mononuclear cell leukemia. And we struggled with this also. And Dr. Rao of the NTP has got some information that we would like for him to share with you on the historical rates.

CHAIRMAN HOOK: Well, very quickly. We've gone through it once today. Very quickly.

DR. RAO: Well, I would rather use an overhead projector so it will be clear and can be done faster than rattling off some numbers.

Let me pass out some of the tables quickly so you will have them also.

Specifically, I wanted to show you the incidence of mononuclear cell leukemia in inhalation studies. Some months ago when we started seeing (the increase in) incidence, we were puzzled too and wondered what is happening.

So to really get a handle on this, we took mainly the inhalation study to address that, the present Report. You can see we have a 1981 Report (indicating an average of thirty-five percent). But if we take the 1983 necropsies--

we're going by the year of necropsy. The incidence was 52, and then in 1984 we have some studies. Fifty-nine in the male. And we do not have any studies available that were necropsied in 1982. And that really indicates a dramatic jump or increase in 1983. But the number of studies are so few we cannot really draw a conclusion on what has happened.

Is there a change in environment, animals, procedures, whatnot? So we had to take some other data base, proceed on that and try to get a different handle on that one.

Here we have a series of studies, dose feed, 1980 necropsies of the male rats, getting a twenty-seven percent average. In 1981, twenty-six percent average. And then in 1982 necropsies we see a quick change happening right here, and I included two arrows. I will explain what that is. And then 1983, forty-five and forty-nine percent.

Look back at the source of the animals. The animals were not (redivided). And for most of these studies the sources are the same, Charles River or Kingston, where the foundation animals are the same.

And also this arrow indicates there is a change here. The commercial diet, we started the NIH07 diet here. To resolve this question of why, even with the NIH07 diet, the two studies, all of them with less than forty percent

1 TETRACHLOROETHYLENE

PAGE 194

2 incidence whereas these are more than forty percent, we
3 selected this study and this study and restaged them. And
4 we staged--looked at two pathologists together.

5 We found that this represented a truly stage
6 three or advanced case of leukemia. But our (presents) showed
7 up as twenty-two and the () pathologist was twenty-
8 four.

9 When we looked at this one, stage three or
10 advanced case, was studied, six percent. And then stage two
11 or the clear evidence of the lesion was the other thirty
12 percent.

13 So this represented more an addition about at
14 stage two and stage three. And this change happened--in
15 October of 1982 we had a conference on hematopoietic tumors.
16 And in that there was considerable presentations, discussions
17 on mononuclear cell leukemia.

18 These are necropsies of the June-July time period,
19 probably right after the conference. From then on we have
20 a change in the incidence reported. Not really, actual change
21 in the animal or the actual incidence of the lesion.

22 So that gave us an adequate indication at this
23 point that there is no real change in the animal or the
24 environmental conditions. Except because of the increased
25 awareness of the pathologists as well as some criteria set

1 TETRACHLOROETHYLENE

PAGE 195

2 forth for diagnosing the lesion, they are including additional
3 cases.

4 CHAIRMAN HOOK: Thank you very much. Are there
5 any questions specifically for Dr. Rao? Dr. Purchase?

6 DR. PURCHASE: I didn't quite understand.

7 You said that you compared two studies? One with
8 a twenty-four percent incidence, one with a sixty percent.
9 Did the pathologists go back and reread the twenty-four
10 percent study?

11 DR. RAO: We have the slides available. Two
12 pathologists--we went back, looked at all the slides. We
13 have restaged them, stage two, stage three. Stage three,
14 that's the () case. It agreed with the
15 original pathologist. Plus, we have forty other percentile,
16 forty more of twenty other animals with stage two which are
17 not included in the original.

18 DR. PURCHASE: So the percentage went up sixty
19 percent?

20 DR. RAO: Went up to the sixty-six area. It's
21 approximately the same as others.

22 DR. JONES: Do I understand what you say here
23 that after this conference you went back and looked at a study
24 that had been completed before?

25 DR. RAO: Yes.

1 TETRACHLOROETHYLENE

PAGE 196

2 DR. JONES: This one that's at twenty-four percent?

3 DR. RAO: You see, the second arrow represents
4 June necropsy, June of 1982, which usually comes for reading
5 around October of 1982. And time of the conference. Right
6 after the conference all the incidences shifted.

7 DR. JONES: In connection with this twenty-four
8 percent under 1982---

9 DR. RAO: Yes?

10 DR. JONES: ---you said that you looked at those
11 slides and they were all stage three?

12 DR. RAO: That's right.

13 DR. JONES: So that means that stage one and two
14 were not recognized?

15 DR. RAO: Weren't called at the time.

16 DR. JONES: And that would explain---

17 DR. RAO: Stage one and two are present, but our
18 reading indicates we had actually--if we included stage two
19 and three, it would be more like sixty-six percent.

20 DR. JONES: Okay.

21 CHAIRMAN HOOK: Is there another hand over here?

22 (No response.)

23 CHAIRMAN HOOK: Thank you, Dr. Rao.

24 Back to our discussions on the study. Do we have
25 other comments?

SL 038458

CHEMICAL MANAGER: I would comment regarding the actual staging of leukemias, I don't know whether Dr. Boorman would care to make any comments about this now with respect to what Dr. Rao said.

DR. BOORMAN: I think it's appropriate. You know, I think it's been treated with a little bit more mystique than it deserved. It was simply a matter of trying to look at whether we were dealing with advanced stages or very early cases or somewhere in between, so we simply broke it into three stages. And I think it's added a lot of clarity to know whether our calls and our judgments are being based on early cases that are difficult to (tell from back count) or whether they are such obvious cases that anybody would agree that it's clearly an advanced case of mononuclear cell leukemia.

So that's simply what we've been doing. Once we started doing it, there's more and more people interested in it. I don't want to take too much time.

There's sort of an implication that so little is known about mononuclear cell leukemia that we should not be staging it. That's simply not the case. I think (Malone) was one of the first ones to report the disease in 1970 in the Fischer rat. And there's literally dozens of papers. There's been real good work that's come out of Battelle-

Columbus with Stromberg, for example.

We also had an in-house group here with Jeff Branch, Mike (Peters), Bob (Marigold) (where we're maintaining it transplantation). And we know how long it takes from the time you inject the cell until they die and how long it takes from the time you see cells in (blood) until they die and so forth. I think that's more detail than you want it right now. But it's not as mysterious as you might be led to believe.

I think in the interest of time I'll stop there.

CHAIRMAN HOOK: Fine. Thank you. Other comments or discussion around the study? Dr. Purchase?

DR. PURCHASE: I have a couple of comments on this. Firstly, I think the summary omits the dose levels that were used in the long-term study. I may be wrong. I think it actually misses them out. And I think that should be put in there.

On page 56 there is a discussion of gliomas. And again, I wonder whether this has taken into account whether the glioma is fatal or not. Maybe a pathologist could help. I think that they are usually non-fatal tumors. And therefore, there is no significant increase if that is correct. And the discussion in regard to the gliomas looks to me as if it could be tightened up some.

And on page 83 there appears to be reference here and elsewhere in the report to kidney effects with both chlorinated alkanes and alkenes, some of which refer to Reports which are still under preparation within the NTP. Therefore, by definition, they are not available to the Peer Review Panel. And it would seem to me to be unwise to use that as a means of confirming a conclusion from this statement.

It's not entirely clear which of those studies are in the pipeline and which are the ones that have already been published. I'm sure that when those studies come out, they will refer to this one as supporting evidence. We can't have it both ways, particularly when they are not yet published.

CHEMICAL MANAGER: With the exception of the study that I referred to of four strains of rats, they've all been peer-reviewed, and the four rat strain studies will be coming through, we hope, in the next peer review. But we won't use that one as a reference here.

DR. PURCHASE: The only other comment I have to make is that the leukemia incidence and the way in which it has been analyzed in this report, I would support Dr. Swenberg's statement that one must recognize that the primary conclusions are drawn on the straight statistics and the staging is a secondary sort of elaboration of that. If

1 TETRACHLOROETHYLENE

PAGE 200

2 you look at it that way, I think that it will be unwise to
3 move this one up to "clear evidence." I think it should
4 remain "some."

5 CHAIRMAN HOOK: Thank you. Other comments?
6 Dr. Kociba?

7 DR. KOCIBA: Is this going to be the standard
8 approach that NTP uses in "staging" the mononuclear cell
9 leukemias in all future reports being this is identified as
10 a problem area?

11 DR. BOORMAN: No. We're not routinely staging
12 leukemias. If there is an increase in mononuclear cell
13 leukemia and it becomes especially a question of whether it's
14 related to mortality, then it's important to know whether
15 or not there are advanced cases or not advanced cases.

16 We recognize that it needs some additional work,
17 and we're working on a publication right now that we will
18 be submitting for peer review and getting input and stuff
19 like that. So, this is just a crude step, but we hope to
20 make it a little bit more elaborate.

21 DR. KOCIBA: So is it your plan to delete it from
22 this Report here until your work is done?

23 DR. BOORMAN: No. I think there's nothing wrong--
24 I think the statistics and all the conclusions are based on
25 the raw incidences, as Dr. Swenberg has said. All we've done

SL 038462

1 TETRACHLOROETHYLENE

PAGE 201

2 is we've also added for your information--these are the cases
3 where it's advanced, these are the cases where it's very early,
4 and these are the cases of stage two where it's in between.

5 And I think to delete that data would be
6 deleting useful data. We're not making our call on the
7 stages.

8 CHAIRMAN HOOK: Other comments? Dr. Hooper?

9 DR. HOOPER: Yeah. I wanted to comment on what
10 Dr. Mirer said. I think what I would encourage doing is
11 separating the characterizations of male and female rats.
12 And I would call the effect in males with mononuclear cell
13 leukemia as clear. If you look on page 52 at Table 12, the
14 statistics of using a life table test for the leukemia is
15 significant trend, highly significant trend, and highly
16 significant high-dose, and a significant low-dose.

17 And also, as I think he commented on, the fact
18 that the high control incidence in a sense would mask or make
19 more difficult to (attach) to the fact in the low-dose and
20 high-dose groups.

21 The mention that the highest reported incidence
22 of leukemia in these animals is forty-six percent, and we're
23 considerably above that in the high-dose and the low-dose
24 groups. This finding in males is supported by the finding
25 in females, which is significant to the low-dose group. So,

SL 038463

in a sense, then, I think you have "clear evidence" because you have the malignancy being clear in statistical effects, and then support from the opposite sex.

In addition, I'm curious about the findings in the brain tumors. On page 56, Table 15, we looked at gliomas, and the controls were one of fifty in low-dose, zero of fifty and high-dose four of fifty in male rats. And here, at least my understanding is that the historical control incidence, the mean is 0.8 percent.

So you have a control incidence which obviously is higher than historical. Just one animal. But your high-dose animals is quite higher than historical. And that's not statistically significant, but in the past we've talked about historical control incidences. And if we're going to use them to diminish the significance of the tumor, I wonder if you oughtn't to be flexible and in a sense look at this tumor of increased significance. Because you have some effect that's much higher than historical control incidence.

So I would say that this tends again to augment the fact that something is going on in males. I'd argue that you have "clear" in males and "some" in females.

CHAIRMAN HOOK: Dr. Swenberg wanted to respond.

DR. SWENBERG: Yes. Let me address the brain tumor issue before I go on to the point I was going to make.

1 TETRACHLOROETHYLENE

PAGE 203

2 For some reason in the last few years we're starting to see
3 a lot more brain tumors, and they seem to cluster. And the
4 statisticians don't have any idea why this is happening. But
5 I think--maybe Dick could comment on it, but you recently
6 had a study in Fischer rats where I think the control
7 incidence had fourteen percent or sixteen percent in the
8 controls.

9 And we've had the blue dye studies and this whole
10 food color series, and brain tumors have varied from one to
11 fourteen percent or zero to fourteen percent in the controls.
12 And therefore, I no longer accept that brain tumors are as
13 rare as we used to previously think they were. And we don't
14 understand why they tend to cluster, but there sure as hell
15 is evidence that they are.

16 As to the males being "clear evidence," I'd like
17 to argue strongly against that. We've already heard that
18 the methylene chloride controls run in the same lab at
19 approximately the same time had sixty-eight percent mono-
20 nuclear cell leukemias. So you're going to say sixty-eight,
21 seventy-four, seventy-four is a clear a clear effect of
22 carcinogenesis? I just can't buy that. It just doesn't make
23 good sense.

24 DR. RALL: It wasn't the experiment---

25 DR. BOORMAN: This could be a relevant comment

1 TETRACHLOROETHYLENE

PAGE 204

2 on the brain tumors. And this is something that Dr. Swenberg
3 just pointed out, but I would like to reiterate it. Dr.
4 (Solfeld) went back and looked at the aging study where we
5 had done several different studies at Hazelton, lifespan.

6 And he found in some groups zero brain tumors
7 and others I think it was four or five. But it was clear
8 there was statistically significant difference between some
9 control groups and the other control groups in brain tumors.

10 We pulled these brain tumors out and looked at
11 them. And they are not just a few clusters of gliol cells.
12 They are real tumors that are fairly large lesions.

13 Yet just for the exact reason that Swenberg stated,
14 we felt the best way to handle it was to put it out here in
15 the Report where everybody could see it, but we didn't call
16 it.

17 DR. HUFF: But those were lifespan studies and
18 to two years.

19 DR. BOORMAN: Yes.

20 CHAIRMAN HOOK: Dr. Kotelchuck and then Dr.
21 Kociba.

22 DR. KOTELCHUCK: In reference to what Dr.
23 Swenberg said, it is the concurrent controls that are the
24 ones that are the key and should be the main ones in making
25 the determination. They are fifty-six percent. It's not

SL 038466

1 TETRACHLOROETHYLENE

PAGE 205

2 sixty-eight versus seventy-four. And I think that comes up
3 in a number of different cases. I think that the material
4 on page 52, in fact, argues for "clear," not for "some."

5 CHAIRMAN HOOK: Dr. Kociba and Dr. Perera.

6 DR. KOCIBA: Just one comment on the brain tumors.

7 I concur with what Dr. Boorman and Dr. Swenberg said about
8 the variability for whatever reason, we have on our own
9 observed these extreme variations in control groups. So I
10 really don't attach any significance to the observation of
11 brain tumors in this particular study. I wouldn't want to
12 see that be given more significance than what it really
13 indicates.

14 CHAIRMAN HOOK: Thank you. Dr. Perera?

15 DR. PERERA: Yes. I think Dr. Mirer's conclusions
16 about the mononuclear cell leukemias constituting "clear
17 evidence" are reasonable. But I also wonder why the increase
18 in the male rats of testicular interstitial cell lesions were
19 not included in our consideration that's found on page 57,
20 Table 16. And there is a positive trend by both tests and
21 a significant increase in both dose groups by the incidental
22 tumor tests. And I don't believe that's even mentioned in
23 the Abstract or the Conclusions. Why is that not also
24 factored into consideration of the positive evidence here?

25 CHAIRMAN HOOK: Dr. Mennear?

SL 038467

CHEMICAL MANAGER: This is a very common tumor, of course, that develops in these animals. And although it was statistically significant, usually we find ninety-nine to a hundred percent of the animals have the tumor. So consequently based on our past experience, we're just not enthusiastic about making that a--making a call on that tumor.

Dr. Swenberg had mentioned that, and the statistics were--however, the life table analysis data were correct. But the incidental tumor test, it comes down to .05, but it's not a life-threatening tumor, so you don't want to use it. You wouldn't use your life table analysis.

CHAIRMAN HOOK: I think it's time we brought this thing to a close.

We are at a point now where some of you are going with "some," and maybe some are going with "clear." But I'm ready to accept a Motion to allow us to vote.

DR. SWENBERG: All right. I move that we accept the conclusion as written in the Draft Technical Report of "some evidence" in the rats and "clear evidence" in mice.

CHAIRMAN HOOK: Thank you. Is there a second?

DR. HOOPER: Second.

CHAIRMAN HOOK: We have a second.

All right. We have a Motion on the floor that we accept the Report. Are there substantive contributions

1 TETRACHLOROETHYLENE

PAGE 207

2 to this debate? Or should we bring it to a vote?

3 (No response.)

4 CHAIRMAN HOOK: Hearing none, I would bring it
5 to a vote. If you vote "Aye," then you're supporting "some
6 evidence" in rats based on the discussion we just had. If
7 you vote "Nay," we'll try again.

8 Those in favor of the Motion, which is to accept
9 the summary as written, indicate by raising your hand, please.
10 I see four positive votes. Those opposed? Five. And those
11 abstaining? Dr. Kociba and Dr. Purchase are abstaining.
12 Thank you. There seemed in my mind no real debate around
13 the mice. May I have a Motion about accepting the Report
14 for mice as "clear evidence" of carcinogenicity?

15 DR. HOOPER: So move.

16 DR. PERERA: Second.

17 CHAIRMAN HOOK: Moved and seconded. Those in
18 favor of accepting the Report regarding mice indicate by a
19 positive response. Nine. Abstentions? Dr. Purchase and
20 Dr. Kociba. Thank you.

21 Female rats, "some evidence" of carcinogenicity
22 in female rats. Do I have a Motion?

23 DR. HOOPER: So move.

24 CHAIRMAN HOOK: Second?

25 DR. CROWLEY: Second.

SL 038469

1 TETRACHLOROETHYLENE

PAGE 208

2 CHAIRMAN HOOK: Okay. We're voting for "some
3 evidence" of carcinogenicity in female rats. Those in favor,
4 please so indicate. Eight. Opposed? One. And two
5 abstentions.

6 Therefore, our alternative with male rats is some-
7 one wants us to say "clear evidence." A Motion for "clear
8 evidence"?

9 DR. MIRER: So move.

10 CHAIRMAN HOOK: And a second?

11 DR. PERERA: Second.

12 CHAIRMAN HOOK: Okay. An "Aye" vote means you
13 will accept "clear evidence" of carcinogenicity in male rats.
14 Those in favor, indicate by raising your hand. Five. Opposed?
15 Four. And our two abstentions.

16 Well, we didn't change a thing, we confirmed our
17 decision. Thank you. So, finally, we accepted everything
18 other than we strengthened the position in male rats and made
19 it "clear." Agree? Thank you very much.

20 (Spontaneous discussion.)

21 CHAIRMAN HOOK: Okay. Let's move on to the
22 next chemical, which is chlorendic acid. Dr. French, you
23 did the study.

24 CHLORENDIC ACID

25 CHEMICAL MANAGER: Chlorendic acid is a chemical

intermediate used in the preparation of flame-retardant polyester resins and plasticizers. In 1981, the manufacture of chlorendic acid was estimated at approximately seven million pounds and imports at a hundred and forty thousand pounds.

Chlorendic acid is manufactured in an essentially closed system and as a chemical intermediate becomes an integral component of the final product. This chemical was nominated for studying after a class review of flame retardants because of its large production, structure-activity considerations, and the potential for human exposure. Presumably, the potential for human exposure is oral, primarily through the environmental degradation of pesticides and polyesters.

Toxicology and carcinogenesis studies were conducted by administering chlorendic acid in feed to groups of fifty male and fifty female F344/N rats and B6C3F1 mice at concentrations of 0, 620, or 1,250 parts per million for 103 weeks.

These concentrations were selected because at higher concentrations in the fourteen-day and thirteen-week studies in rats and mice, dosed groups had decreased mean body weights, more deaths, and an increased incidence of liver lesions. For example, centrilobular cytomegaly and mitotic